

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25  
26  
27  
28  
29  
30  
31  
32

PUBLIC TOWN HALL MEETING

OFFICE OF WORKERS' COMPENSATION  
MEDICAL TREATMENT GUIDELINES

MONDAY, SEPTEMBER 26, 2016

CECIL J. PICARD CENTER

200 E DEVALCOURT STREET

LAFAYETTE, LA 70506

INCLUDING:

JUDGE SHERAL KELLAR  
DIRECTOR OF OFFICE OF WORKERS' COMPENSATION  
ADMINISTRATION

JUDGE ADAM JOHNSON  
LAFAYETTE OFFICE

JUDGE ANTHONY PALERMO  
LAFAYETTE OFFICE

CHIEF JUDGE DIANE LUNDEEN  
BATON ROUGE OFFICE

DR. PICARD  
MEDICAL DIRECTOR

MICHAEL PIPPINS  
IT, BATON ROUGE

FREDA CHARLESTON  
MEDICAL SERVICES, BATON ROUGE

REPORTED BY: KRISTA ACKAL, CCR

1 JUDGE KELLAR:

2 I'll go ahead and make my introduction. I'm Sheral  
3 Kellar, the director of the Office of Workers'  
4 Compensation Administration, newly appointed by Governor  
5 John Bel Edwards. Prior thereto, I was the chief Workers'  
6 Compensation judge for 17 years. And prior to that, I  
7 actually, was the Workers' Compensation judge here in  
8 Lafayette for about eight or nine years. I have with me  
9 this afternoon some members of the Office Of Workers'  
10 Compensation, both from the Baton Rouge Administrative  
11 Office, and from the Lafayette office. Immediately to my  
12 right, and your left, is Adam Johnson -- Judge Johnson in  
13 the Lafayette office. Next to him is our Chief Judge,  
14 Diane Lundeen. She's in the Baton Rouge office. Then we  
15 have Judge Anthony Palermo from the Lafayette office. We  
16 have Freda Charleston. Freda is from the Medical  
17 Service's section in the Baton Rouge office. Dr. Picard  
18 is the Medical Director. You've seen his name. That's  
19 probably why you're here, some of you. And then last, but  
20 not least of all, we have Michael Pippins, who is the IT  
21 guy at the main office in Baton Rouge.

22 We're here this afternoon -- I'd like to thank you,  
23 first of all, for accepting my invitation to come and talk  
24 to us about the Medical Treatment Guidelines. Thank you  
25 for coming this afternoon and accepting our invitation to  
26 talk about the Medical Treatment Guidelines. We are not  
27 unaware that there are numerous problems with the  
28 guidelines, both in terms of how we process the 1010/1009,  
29 and the 1008 appeals. We want to hear from you. We want  
30 you to be part of the solution to help us to solve the  
31 problems as you see them. You are the guys who are  
32 working in the trenches and have difficulties navigating

1 the system every day.

2 We have been to three cities already. We've been to  
3 New Orleans, to Shreveport, and to Monroe. We've heard  
4 some constructive criticism, some of the same things  
5 repeatedly. And then at other times, we've heard things  
6 that we were unaware of. So we're hoping to hear from you  
7 some new things. I'm sure that we're going to hear some  
8 of the old complaints that have been repeated throughout  
9 the state. From here, we'll be in Lake Charles tomorrow,  
10 we'll be in Alexandria on Wednesday, and our final stop on  
11 this town hall meeting train will be Baton Rouge on  
12 Friday, September 30th. You are invited to attend the  
13 remaining town hall meetings, all of them, or any of them,  
14 as you would like. And there's a list of them on the back  
15 table, but only a few. If you miss getting a sheet of the  
16 remaining town hall meetings, you can look on our website  
17 at [laworks.net](http://laworks.net) under the town hall meetings, and you'll  
18 see them listed there.

19 We probably will not solve any problems this  
20 afternoon, but we do want to hear from you what you think  
21 we might do better, what you think we might eliminate, or  
22 what you think we might just tweak. Because, after all,  
23 the Medical Treatment Guidelines are intended to make the  
24 process of securing treatment for injured workers quicker  
25 and faster. We know that that does not always happen, and  
26 to the extent that it doesn't, we want to hear from you  
27 what you think we might do better.

28 I would ask you, in deference to everybody in the  
29 room, that when you speak, to first give us your name,  
30 what company you represent, and to only speak for three  
31 minutes. You may speak multiple times, but at each  
32 occasion, speak only three minutes, in deference to other

1 participants in the room who may wish to speak as well.  
2 We have a court reporter, as you can see, as we've had in  
3 all of the cities. She is going to record your comments  
4 so that after the conclusion of all of our town hall  
5 meetings, we can go back and review what you've told us  
6 your problems are, and we're going to try to address those  
7 issues.

8 I'm going to ask you, in deference to privacy laws,  
9 not to talk about any particular cases, and please don't  
10 use the names of any patients that you might see. You can  
11 give us hypothets, or talk in generalities. If you have  
12 questions, we will try to answer them to the best of our  
13 ability. But we really want to hear from you what we can  
14 do to make things better. If you have cell phones, please  
15 silence them, or put them on vibrate, or if you're tricky,  
16 you can put them on stop. There's water, and tea, and  
17 coffee, I believe, if you are interested.

18 We also have on the back table a handout that we used  
19 at the Louisiana Association of Business and Industry  
20 conference early -- well, last week, and those are just  
21 some statistics about the Medical Treatment Guidelines,  
22 the number of guidelines requests that have been submitted  
23 to our office during this year from January to September.  
24 It's a graph of how well we are processing those Medical  
25 Treatment Guidelines. And I think there is a graph of how  
26 many of the guidelines are approved and how many are  
27 denied. Dr. Picard -- Jason Picard has been with us since  
28 March. So the graph that you see on the approvals and  
29 denials is probably only from March 2016. Okay. So  
30 Michael has a microphone, so if you raise your hand, we  
31 will recognize you. Please, again, state your name, and  
32 what company you represent, and keep your comments,

1 questions, criticisms, beefs, limited to three minutes.

2 So who wants to start?

3 Yes, ma'am?

4 MS. PHOEBE:

5 I'm Phoebe. I work at Lafayette Bone and Joint  
6 Clinic. It's a group of orthopedic surgeons. Two medical  
7 treatment guideline directed questions. The first one is  
8 orthopedic surgery, as you all know, is very subspecialty,  
9 and we want to know, from our practices, how do we ensure  
10 that the person who does a peer review on a very innate  
11 and difficult orthopedic procedure is, actually,  
12 orthopedically trained and/or educated to make that  
13 decision. We oftentimes get physiatrists or nonpracticing  
14 sports medicine doctors reviewing for a revision TLIF at  
15 three levels. That's usually where our first problem  
16 comes into play, because they don't understand it.

17 Secondly, we've been getting denials on  
18 radiofrequency ablations, indicating that they need and  
19 require a psychological evaluation prior to that, but it's  
20 not recommended for the medial branch block, which is the  
21 precursor procedure to the RFA. And statistically, we try  
22 to get those scheduled very quickly, because they are  
23 related procedures. And if we have to get a psychological  
24 evaluation, that takes four weeks to schedule and six  
25 weeks to get a report, it's delaying care for the patient  
26 between the two procedures.

27 DR. PICARD:

28 On the first question, that's something that we, on  
29 our end, probably can't control, because we don't have  
30 control over the insurance companies and who they hire to  
31 review -- to look at your case and determine whether they  
32 think it's appropriate or that it meets the guidelines.

1     However -- Can everybody hear me? Any problems hearing?  
2     So there's probably nothing, and correct me if I'm wrong,  
3     Judge, in the law now, that's going to prevent you -- or  
4     require an orthopedic evaluation from the insurance  
5     company. However, if that does get denied, then we can  
6     certainly take care of it, on our end, with the 1008  
7     process and overturn that, if what you're asking for is  
8     appropriate and within the guidelines. So that's where we  
9     can help you.

10           In terms of your second question, a psychological  
11     evaluation shouldn't need to be required for the RFA. So  
12     I would normally just approve that for you. I've not seen  
13     denials based on that. So I don't know -- Do you know if  
14     you sent --

15     MS. PHOEBE:

16           I've had two recently, and the Medical Treatment  
17     Guidelines were quoted, and to the best of my familiarity  
18     with it, it did stipulate and look like it was saying  
19     such. There was a time when the Medical Treatment  
20     Guidelines did not address RFAs, specifically. It was  
21     kind of included in other injections. They then revised  
22     and now, specifically, address radiofrequency ablation and  
23     even once I read it -- the quotation that they sent back  
24     to us -- it appeared that it was required.

25     DR. PICARD:

26           I see a lot of quotations from the guidelines, and I  
27     don't look at those. I look at my actual copy of the  
28     guidelines, because sometimes I'm not sure where they're  
29     getting it from, but have you sent any of those to the  
30     Medical Director? I'm not talking about specific cases.  
31     Obviously, you can't do that. But just in general, do you  
32     --

1 MS. PHOEBE:

2 They've both been fairly recently, and we have not  
3 made our decision yet as to whether or not we were going  
4 to, because we wanted to clarify whether it was, in fact,  
5 a correct quote from the guideline, or if that was --

6 DR. PICARD:

7 I have not seen that, and I look at it every day.  
8 There are certain ones that do require that. Discography  
9 is very commonly one that's rejected for that reason --

10 MS. PHOEBE:

11 And fusion surgery.

12 DR. PICARD:

13 And fusion surgery. But not RFA.

14 MS. PHOEBE:

15 Thank you.

16 JUDGE KELLAR:

17 The gentleman in front of this young lady. Go ahead,  
18 sir, tell us your name and who you represent.

19 MR. PATRICK JOHNSON:

20 A bunch of them know me. Patrick Johnson with Allen  
21 and Gooch, Judge. And this is something that we had  
22 spoken about on Thursday, Doc. I think there needs to be  
23 attention given, Judge, to variances and how they're  
24 handled. And I believe it would apply to both sides. A  
25 lot of doctors -- they, you know, like, for the defense  
26 doctors, the peer reviews, they're used to having to go to  
27 ODG, which covers everything, whereas Louisiana Medical  
28 Treatment Guidelines are very specific. And so they tend  
29 to default to ODG, when it's my understanding that they  
30 should go to the variance process. The treating  
31 physicians oftentimes just are used to, for having done it  
32 for years and for doing it in non-comp situations, just

1 making a recommendation without having to go back and  
2 provide recommendations for why do they need a variance.  
3 So if we do have to wind up doing some tweaking with  
4 regards to the process of how it's handled, I think we  
5 need to give some attention to either clarifying it for  
6 the doctors as to what's needed for a variance, and when  
7 it's needed, or make it a more streamlined procedure for  
8 the reviewing doctors as to when they can look outside of  
9 the guidelines, and where they can look. Because there's  
10 a lot of times that our doctor makes a recommendation and  
11 it then becomes an issue: Although that's not a proper  
12 variance, you didn't do anything. Or it'll come up -- I  
13 haven't had it with Dr. Picard. I had it with Dr. Rich.  
14 So that's just one of the problems I'm seeing that we can  
15 take away a lot of frustration by having that process  
16 streamed. More of a comment than a question.

17 JUDGE KELLAR:

18 Thank you.

19 Someone back there. Yes, sir. Go ahead.

20 DR. MITCHELL:

21 I'm Dr. Matthew Mitchell. I practice here in town at  
22 Louisiana Pain Management. We have some guidelines that  
23 we've been following for the State, and I can give you all  
24 a copy of them. It's the Louisiana State regulations.  
25 It, basically, tells us that the Medical Director of the  
26 clinic is responsible for ensuring that a urine drug  
27 screen of each patient is obtained, at least, in part --  
28 at least, quarterly. Let me give y'all a copy of that.  
29 And it's, basically -- tells us that we're supposed to do  
30 no less than four of these urine drug screens a year, and  
31 then unannounced urine drug screens.

32 But what we've been running into is problems in

1 getting them approved. Some of the insurance companies  
2 will say that they will allow us to do a urine dip, like,  
3 something you buy at the grocery store or Walgreens.  
4 Well, that's -- as y'all know, that's very inconsistent,  
5 has a lot of errors. We could end up accusing the patient  
6 of something. So we have a liquid chromatography screen,  
7 and then we do a gas chromatography confirmation on those  
8 that need confirmation. But we can't get the workers'  
9 comp insurance companies to always approve even the  
10 screen. They'll say: Well, we'll approve one a year.  
11 But the State guidelines from the Legislature tells us we  
12 have to do no less than four a year.

13 JUDGE KELLAR:

14 Before Dr. Picard responds, let me make sure that I  
15 understand what you're saying. The guideline that you're,  
16 actually, referring to is the one that's used by the  
17 Department of Health?

18 DR. MITCHELL:

19 That's correct.

20 JUDGE KELLAR:

21 We are aware that the guideline followed by the  
22 Department of Health is different from the one that we  
23 use, and we are trying to reconcile the two. But having  
24 said that, I'll let Dr. Picard address your issue, if he  
25 can.

26 DR. PICARD:

27 So, basically, there's nothing we can do about the  
28 denial from the insurance company on the front end.  
29 However, the Louisiana State guidelines, basically, allows  
30 you, at your discretion, to decide the frequency and the  
31 amount of drug testing that you want to do. So I can't  
32 recall one that I denied when it got to me. You just have

1 to go through the extra process of filing a 1009 with us.  
2 Because at this time, we don't have anything to tell the  
3 insurance company, you know: You can't deny this. They  
4 denied it based on what they think is the appropriate  
5 thing to do, but not based on what the guidelines say.

6 JUDGE KELLAR:

7 Yes, ma'am? Right here in front.

8 MS. VALERIE LANDRY:

9 My name is Valerie Landry. I'm with Dr. George  
10 Willims. We're an orthopedic spine surgeon, as well.  
11 We're also having trouble with the urine drug screens with  
12 the guidelines, but our denials are being stated that we  
13 have to think they're not complying or that they're  
14 thinking was -- So they're denying because they're saying  
15 we have to have reason that they're noncompliant. So how  
16 do you know that they're complying, if you don't?

17 DR. PICARD:

18 You don't have to have reason to -- Excuse me.  
19 According to the guidelines, you don't have to have reason  
20 to believe that they're noncompliant to order your drug  
21 screens. Now, that might change. There might be some  
22 revisions to the Medical Treatment Guidelines, but as of  
23 now, it's up to you, or your office, to decide when you  
24 want and need drug testing, and how often you need to do  
25 it. So I do see that very frequently. And when it comes  
26 to me, I just approve it. Because the guidelines don't  
27 state that you have to have that.

28 JUDGE KELLAR:

29 Let's go back this way and then come back to the  
30 front. This young lady right here.

31 MS. EDIE DUHON:

32 Hi. My name is Edie Duhon. I work for Dr. Jayme

1 Trahan. He's a neurosurgeon. Where do we get a decent  
2 copy of those guidelines? Because I don't have a copy of  
3 the guidelines in our office at all.

4 JUDGE KELLAR:

5 They're online.

6 UNIDENTIFIED SPEAKER:

7 The Louisiana Admin Code. The Louisiana Admin Code.  
8 You look up Louisiana Administrative Regulations, and you  
9 can download as a PDF or a Word document.

10 JUDGE LUNDEEN:

11 Also, if you go to Louisiana -- to laworks.net, and  
12 they have a search function, and type in "Medical  
13 Treatment Guidelines," and it will give you a link. And  
14 then there's a username and password that they provide to  
15 you, and you can get in that way. So you get the most  
16 current form, hopefully, on our website. Or you can,  
17 again, go to the administrative code.

18 JUDGE KELLAR:

19 The young lady back there.

20 MS. ASHLEY MARTIN:

21 Hi. I'm Ashley Martin, laboratory supervisor with  
22 Anesthesiology and Pain Consultants. A problem that we're  
23 having is, actually, during drug screening and the  
24 confirmation testing is some of our workers' comp  
25 companies want to pay 80101 times one. They'll let me  
26 choose one test. I've even talked to adjusters. I've  
27 explained to them: I don't know if you think that we're  
28 doing a dip stick. We're not. We're doing immunoassay  
29 for it. And Medicare, we do a 2479, which groups together  
30 an immunoassay and gives us like \$97. 80101 times one is  
31 less than what Medicare would pay.

32 So for some reason they will only pay one and then I

1 asked them: Well, which one do you want me to pay?  
2 Because that's virtually impossible. And then, basically,  
3 the same thing as Bone and Joint had, whenever I'm  
4 discussing with adjusters about confirmation, liquid  
5 chromatography versus screening, they have no clue as to  
6 what I'm exactly talking about. And then by the time we  
7 get to the end of the conversation, they're, like: What  
8 do you want? Just tell me what you want. They don't know  
9 the cutoffs, reference ranges, absolutely nothing.

10 JUDGE KELLAR:

11 Thank you. This gentleman in the front of Mike. Go  
12 ahead, sir.

13 MR. BRUCE DAVIS:

14 My name is Bruce Davis from CaseExperts, a nurse case  
15 management company. Talking about the urine drug screens,  
16 I'm looking at it from a different standpoint from a nurse  
17 case management standpoint is we're getting the drug  
18 screens, we're seeing that they tested positive for drugs  
19 that were not prescribed, and then we're seeing the  
20 physician not address those issues, which is a patient  
21 safety concern, not only for that physician, but also for  
22 the patient and everybody else involved. And if we're  
23 going to perform drug screens, we need to have some  
24 process to hold the patient accountable for compliance,  
25 whether they're taking the medicine, you know, if they're  
26 testing negative for a drug, then why do we keep on  
27 ordering a drug if they're not taking it. Or if they test  
28 positive for illicit drugs, why aren't the physicians  
29 addressing those to where we don't have the patient who's  
30 addicted?

31 JUDGE KELLAR:

32 Thank you. Yes, ma'am?

1 MS. VALERIE:

2 Hi. My name is Valerie, and I work for Dr. Darrell  
3 Henderson. He's a plastic surgeon, certified hand  
4 specialists. We've been having a lot of denials lately.  
5 My question is clarifying the days until we have to file a  
6 1009, and how long does the workers' comp have to give an  
7 answer on a 1009? Because I've been in touch with  
8 adjusters that say: We'll approve anything after 30 days  
9 if we haven't heard anything from the State. By law we  
10 have to hear from the State. Meanwhile the patient's  
11 still waiting, kind of in limbo to get approved for  
12 surgery. So just a clarification on the days after the  
13 1009 is filed and after a 1010, before we file a 1009.

14 DR. PICARD:

15 If your 1010 is denied by the insurance company  
16 initially, you have five days to file a 1009 appeal with  
17 the Office of Workers' Comp.

18 UNIDENTIFIED SPEAKER:

19 And just to clarify that, so on --

20 DR. PICARD:

21 Did I say that right?

22 MS. CHARLESTON:

23 No. Because if she waits five -- well, it sounds  
24 like she's not getting a response. So she only has to  
25 wait five days before she sends it in to --

26 DR. PICARD:

27 I might have misunderstood. Are you getting a denial  
28 to your 1010 initially? Is that what you're asking about?

29 MS. VALERIE:

30 Well, sometimes we do, sometimes we don't.

31 DR. PICARD:

32 Sometimes you get nothing?

1 JUDGE KELLAR:

2 So once you submit the 1010 to the payor, or the UR,  
3 you have five days to get a response, and if you receive  
4 an actual response, if it's a denial, you have 15 days  
5 from that date in order to file your 1009 appeal. But if  
6 you do not receive a response from the payor, or the UR  
7 company, within the five days -- Well, listen it's  
8 considered a tacit denial, if you've received no response  
9 at all, but you still have to count an artificial five  
10 days before -- to give them to respond and then 15 days  
11 after that.

12 We know that's a problem. Because you don't have any  
13 idea when they received it, or you don't have any idea of  
14 when to start counting the five days, when the five days  
15 ends, or anything like that. So your 15 days may have  
16 long passed, and you have no clue. Tacit denial we  
17 understand is a problem, and we are trying to address that  
18 problem. Because it requires you to count an artificial  
19 number before you file your appeal, and that's just not  
20 the way we should be doing things.

21 So we're trying to come up with a trigger for your  
22 five days, either it being the actual notice that the 1010  
23 has been denied, or written notice, something like that.  
24 But we do know it's a problem. Does that help you?

25 MS. VALERIE:

26 It does. As far as the 1009, how many days after  
27 that's filed should you get a response, and should there  
28 be a written response?

29 JUDGE KELLAR:

30 To the 1010 -- the 1009? Because the 1009 goes to  
31 Dr. Picard.

32 DR. PICARD:

1           It's 30 days. We have 30 days to give you an answer.

2 JUDGE KELLAR:

3           And there should be a written response, always. If  
4 not, let me know.

5 MS. VALERIE:

6           Okay.

7 JUDGE KELLAR:

8           Yes, ma'am?

9 MS. DAWN MORRIS:

10           Dawn Morris. I'm an attorney. I'm working with a  
11 healthcare provider. So we have a situation where we are  
12 submitting 1010s to get approval for follow-up visits, and  
13 the issue is with a particular code that's being put on  
14 the 1010. Our office codes 99215, which is a level 5,  
15 because, of course, we don't know what level the visit's  
16 going to be because it's prospective, the visit hasn't  
17 happened yet.

18           The carrier, however, will do one of two things.  
19 They'll either deny the visit, saying there's no support  
20 for that level of care, or they will approve it with  
21 modification and down code it before the patient has ever  
22 been seen. So it seems to me that since the visit hasn't  
23 happened yet, we should put the upper level of the code  
24 and then it can be down coded later. It's going to be  
25 much easier to down code it during the time of  
26 reconsideration of the bill rather than trying to up code  
27 it later. I don't know if that's an issue that's been  
28 coming to the Medical Director, because by using the 1010  
29 to direct the healthcare provider as to what particular  
30 level to code, before the visit's ever happened, doesn't  
31 seem like a Medical Treatment Guidelines issue. But  
32 that's how the carrier is trying to fit it into the

1 procedure or the process.

2 UNIDENTIFIED SPEAKER:

3 Dr. Picard, we have --

4 JUDGE KELLAR:

5 Just a minute. Hold on. This young lady right here.

6 Thank you, ma'am. Did you want to respond?

7 UNIDENTIFIED SPEAKER:

8 I want to add a little something.

9 JUDGE KELLAR:

10 Hold on just a second. Remember we have a court

11 reporter, and she can only hear one of you at a time.

12 DR. PICARD:

13 Most of the ones I see do not have a code. They just

14 have a procedure that is being requested without any

15 numbers behind it. So I don't know if it might be easier

16 for you to not put that upfront and see if that works

17 better.

18 MS. DAWN MORRIS:

19 The same carrier, if there's no code, refuses to do

20 anything with it without every single code that --

21 DR. PICARD:

22 Is it just that carrier?

23 MS. DAWN MORRIS:

24 I think it's just that one primarily.

25 DR. PICARD:

26 Just that insurance company? It's not in the

27 guidelines, so we can't really -- All I can do is when it

28 comes to me in a 1009, I ignore that part of it, because

29 that's not really in the guidelines. And I'm just looking

30 at the procedure, whether it's approved or not.

31 JUDGE KELLAR:

32 Why don't I give you my card, and we can talk

1 afterwards, okay?

2 MS. DAWN MORRIS:

3 Sure.

4 JUDGE KELLAR:

5 Okay. This young lady right here in the front of me.

6 UNIDENTIFIED SPEAKER:

7 I want to add a little to that. She's saying she's  
8 upgrading that code, so that, you know, if they use a  
9 lesser code, you know, it may be approved. We're having  
10 problems where it's not getting paid if it's not that  
11 exact code that is approved. Not only that, when we are  
12 submitting 1010s for follow-up visits, they have a minimum  
13 of four visits that they have to approve for follow-ups,  
14 and they're only approving one at a time, which causes a  
15 problem in a large clinic. I mean, it doesn't matter if I  
16 submit two or not, they tend to ignore it.

17 JUDGE LUNDEEN:

18 That's a case where you really probably should be  
19 using the 1009 process to your advantage, because at some  
20 point, even though it's cumbersome and not necessarily  
21 related directly to the guidelines, since the guidelines  
22 are telling you that you get four visits or that's at  
23 least the protocol for your type of clinic, then the  
24 doctor can do what's appropriate in accordance with the  
25 guidelines. And at some point, these people will  
26 hopefully change the culture of how they're conducting  
27 business.

28 UNIDENTIFIED SPEAKER:

29 Another thing with those four visits, sometimes  
30 they're putting a limit to 10 days, 15 days for us to use  
31 the four visits. They're kind of trying to get around  
32 being -- approving those visits.

1 JUDGE LUNDEEN:

2 But that's what the whole purpose of the Medical  
3 Treatment Guidelines is. It's to provide people  
4 appropriate access to good care in a timely fashion, so we  
5 can help them to get them back to work. So use that 1009  
6 process to your advantage, and don't be bullied by someone  
7 who's not following those guidelines.

8 JUDGE KELLAR:

9 This young lady right here.

10 UNIDENTIFIED SPEAKER:

11 I'm, actually, going to help both you guys out  
12 because we had that trouble last year. On your 1010, we  
13 do 90212-90215, so it gives them a span of codes. And in  
14 the documentation, where you put how many office visits  
15 you're asking for, I put "4 OV routine follow-up,  
16 documentation to support level billed." That way, they  
17 know that we're going to bill according to what we  
18 document for that particular day, no problems getting them  
19 approved. Medical Treatment Guidelines, actually, give us  
20 a max of four, not a minimum of four, so they can approve  
21 just one at a time, or two at a time. They sometimes give  
22 us two weeks, sometimes they give you a year, and there's  
23 no stipulation that says they can or can't do that.

24 JUDGE KELLAR:

25 Thank you.

26 JUDGE LUNDEEN:

27 If there's not a prohibition -- If there's not  
28 something that says that they have to do something one way  
29 or the other, then you have, again, the right to file that  
30 1009. Because if they're artificially limiting something  
31 saying: Well, it's the guidelines. Send it to the  
32 doctor, because if it's not in the guidelines saying that

1 it's limited, then the legislature has not found it  
2 appropriate or the people enacting this part of the  
3 administrative code to limit it in that fashion.

4 JUDGE KELLAR:

5 Yes, ma'am?

6 MS. FAY GIROIR:

7 Fay Giroir with Terrebonne Parish Government. I,  
8 actually, adjust claims for Terrebonne Parish Government.  
9 Some of the issues that I'm seeing with the 1010 process  
10 is, basically, a lack of understanding how the 1010  
11 process flows. I think it would be much more helpful for  
12 a lot of medical providers if there were some type of  
13 flowchart that they could follow, because I'll get 1010s  
14 in that may get denied, but they'll never go to the 1009  
15 process. Then a month or so later, they'll file another  
16 1010. Or if I have a 1010 that they send in without any  
17 medical documentation, and I pend it for lack of  
18 documentation, instead of them just submitting it with  
19 that same 1010 request, they're submitting whole new  
20 1010s. So it's -- you get bombarded with the 1010s, when  
21 they should be filing a (inaudible), an exchange of  
22 information (inaudible). So I think it would be much  
23 helpful for everyone if they had some type of flowchart  
24 for them, you know, to follow how the process works, and  
25 what's their next step, what they can do. And everybody  
26 would understand it.

27 JUDGE KELLAR:

28 Thank you.

29 Joe, did you want to respond?

30 MR. JOE:

31 It used to be, in the old system, when a 1010 came  
32 through or when the request came through, and there wasn't

1 enough documentation attached, they didn't know if they  
2 had conservative treatment. The adjuster had all the  
3 information. They could look and see they approved  
4 conservative treatment for -- They could answer that.  
5 Now, they put the burden upon the doctors to go back  
6 through the file. Where in the old days, the adjuster had  
7 all the information, and they could look at their file  
8 quickly. They don't do that anymore. They now deny it,  
9 send it back. Not my problem. And so that would clear up  
10 and prevent a lot of new 1010s coming back. If you go  
11 over the 1010s, look through the file, you can know if  
12 you've approved conservative treatment or not, and answer  
13 your question.

14 UNIDENTIFIED SPEAKER:

15 Hopefully that's not my issue.

16 MR. JOE:

17 That's the issue we --

18 MR. PATRICK JOHNSON:

19 It's not uncommon for medical records -- I'm sorry,  
20 Judge.

21 JUDGE KELLAR:

22 No, go ahead.

23 MR. PATRICK JOHNSON:

24 It's not uncommon to get requests, now still in this  
25 day and age, without a medical record. I mean, for the  
26 doctors and the nurses here, as someone who deals with the  
27 adjusters every day, we've got to get those records,  
28 because we have that situation: Well, Patrick, why didn't  
29 you approve this? I'm, like: I haven't had a medical  
30 record for six months now, but I've got a lot of 1010s.  
31 So, I mean, that's one small problem that can be fixed.  
32 Make sure the records, and the documentation, all the

1 things that you need for a level 5 are in their records.  
2 And I know it is, for the doctors, a new way of doing  
3 things. Whereas they may not have done it before, and for  
4 the staff, but it is the game we have to learn. That has  
5 to be in there. It has to be provided. Now, if it's a  
6 situation where my adjusters had these records for six  
7 months and hasn't done anything, well, then hopefully  
8 there's a method it can get back to me, so that I can help  
9 you. But it's a situation where both sides still aren't  
10 meeting in the middle for whatever reason.

11 JUDGE KELLAR:

12 When the Medical Treatment Guidelines were first  
13 implemented, we went around the state trying to teach  
14 healthcare providers how to use the 1010, the 1010(A) and  
15 the 1009. That has been five years now, and so it may be  
16 time, once we are able to tweak the process of which we  
17 implement the Medical Treatment Guidelines, to go back and  
18 do that again, because we do understand that some  
19 healthcare providers are having problems. Some adjusters  
20 are not doing the things that the rules require them to  
21 do, as well.

22 But if you find that your difficulty is with a  
23 particular doctor, if you're always having a problem with  
24 a particular doctor, then you should let us know, because  
25 we can contact the doctor and see if there's something we  
26 can do to assist him, so that his 1010s will at least have  
27 the proper documentation, so that they can be approved if  
28 they're within the Medical Treatment Guidelines. I'll  
29 give you -- We all have cards, and we'll give you our  
30 cards at the end of this.

31 Yes, ma'am?

32 UNIDENTIFIED SPEAKER:

1           This question is probably more directed towards the  
2 judges. First, with the goal of workers' comp getting the  
3 injured workers back to work, I've seen many healthcare  
4 providers on their work restrictions use the language,  
5 "Unable to work, pending treatment." What are your  
6 thoughts on that particular work restriction? Is it  
7 sufficient? Does it need more detail? Or when you're  
8 looking at it from a judge's point of view, is that an  
9 adequate work restriction?

10 JUDGE JOHNSON:

11           It's all the circumstances, not just one thing.  
12 There's all the medical records, all the testimony. So  
13 just to say "yes" to that, I mean, I don't think anybody  
14 could.

15 JUDGE LUNDEEN:

16           Everything is on a case-by-case basis, once it makes  
17 it into the court system. And so we need as much  
18 information as we can get. When we talk about  
19 restrictions, we get into vocational rehabilitation and  
20 DOT guidelines, so that we can determine what the  
21 patient's limitations and restrictions are for rehab  
22 purposes and return to work purposes. It is, certainly,  
23 helpful to know, you know, what specifically the doctor  
24 thinks the patient can or can't do. If there's specific  
25 things the doctor knows that the patient can't do, that's  
26 wonderful. But I also understand, I think, why some  
27 doctors write that. Because if you have someone coming in  
28 with, for example, a possible torn rotator cuff, and you  
29 don't have that MRI yet, it's probably terrifying from a  
30 malpractice perspective to say: I think you can do  
31 something sedentary when you probably shouldn't be using  
32 this at all and should, to the best of everybody's

1 ability, have surgery as quickly as possible to repair it  
2 before it freezes and it's permanent.

3 So that's kind of a tough question. That's really a  
4 medical legal question, but the more information doctors  
5 can give, I think the more helpful it is to us as a court,  
6 but also probably to the adjusters making the decisions to  
7 approve treatment. You have anything else to add?

8 JUDGE PALERMO:

9 I think the most baffling situation that we have is  
10 when the doctor puts that the person is "unable to work,  
11 pending treatment." And then in the body of the report,  
12 he takes a history from him, and the person says that he's  
13 doing stuff around the house, you know, cutting the grass,  
14 or, you know, doing other things. And then he says "No  
15 work." Basically, no work, pending treatment.

16 We're caught in a situation where what do we do with  
17 that. I mean, he's, obviously, capable of something, but  
18 the doctor's saying no work. I mean, if it's the treating  
19 physician and he hasn't seen any other doctor, we don't  
20 really have much of a choice in that case. You know, so  
21 it kind of goes to what are the totality of the  
22 circumstances in the particular case.

23 JUDGE KELLAR:

24 Yes, ma'am?

25 UNIDENTIFIED SPEAKER:

26 For the 1009 process, once we have submitted the 1009  
27 to your office, and we've gotten no response saying it's  
28 approved, how long does the carrier have -- or is there an  
29 amount of time the carrier has to then enforce that  
30 approval you said, and send us a 1010 to acknowledge that  
31 they agree and that they are going to pay for those  
32 services?

1           And the other question is for 1010s, when we submit  
2 for something as complex as like a psychosocial  
3 evaluation, recently -- it's been going on for a long,  
4 long time, but recently they've come up with a new idea  
5 that they want to demand that we provide them with a code  
6 for the psychosocial evaluation. And as the office, we're  
7 not providing the treatment, we're making the  
8 recommendation to be in compliance, and each psychiatrist  
9 stipulates what they're going to do, because they may get  
10 through the first three tests and not need anything else,  
11 but yet they may need the fourth and fifth. So now  
12 they're wanting me to give them codes from a psychological  
13 office that, of course, I didn't have, and had to hunt.  
14 And now our fear is I'm going to code the most possible,  
15 and then we're going to get that denial saying that that's  
16 \$4,000, we're not paying for that. So do we, as the  
17 provider asking for the surgery, have to provide the  
18 coding for the psychological evaluation required by the  
19 treatment guidelines?

20 DR. PICARD:

21           No. I can't tell you that the insurance company  
22 doesn't require that, and that's their policy; however, if  
23 it came to me, I would approve it and say: No, that is  
24 not required, per the guidelines. To answer your first  
25 question, how long does the insurance company have to  
26 respond.

27 JUDGE KELLAR:

28           Judge Lundeen?

29 JUDGE LUNDEEN:

30           The defense -- I think the defense --

31 DR. PICARD:

32           After I approve --

1 JUDGE LUNDEEN:

2 Oh, after you, they have --

3 JUDGE KELLAR:

4 The question is after Dr. Picard approves the 1009,  
5 how long afterwards does the carrier have to --

6 JUDGE LUNDEEN:

7 I think it's ten. I think it's ten days.

8 UNIDENTIFIED SPEAKER:

9 Ten business days? Not ten calendar?

10 JUDGE LUNDEEN:

11 I don't think it specifies business, so I think it's  
12 ten calendar.

13 JUDGE PALERMO:

14 I don't think it says.

15 UNIDENTIFIED SPEAKER:

16 I never found it, that's why I'm asking.

17 JUDGE KELLAR:

18 What she's asking is once Dr. Picard approved the  
19 treatment, how long should she wait before the payor says:  
20 Okay, go on with the treatment?

21 JUDGE LUNDEEN:

22 I understand what you're saying. So what you're  
23 getting is you're getting the tacit denial all over again,  
24 after it's been approved. You're getting no action.

25 UNIDENTIFIED SPEAKER:

26 Correct. Because, typically, what I'll do is make  
27 contact with the adjuster, and say: Hey, I got Dr.  
28 Picard's 1009. He's saying approved. Can you send me my  
29 1010? Sometimes, they make me send in a new one, which I  
30 do just to get it over with, but it -- the last two that  
31 I've had, it took over two months for them to get me a  
32 1010 with an approval signature.

1 JUDGE KELLAR:

2 So, wait, let me make sure that I understand. So you  
3 get Dr. Picard's approval, then you call the adjuster, and  
4 they're asking you --

5 UNIDENTIFIED SPEAKER:

6 I'm asking them to give me their 1010 with their  
7 signature.

8 JUDGE LUNDEEN:

9 So it seems to me that at that point, they're not in  
10 compliance with the statute. And at that point, you would  
11 treat it -- I think you would have to come to the court  
12 with a 1008.

13 MR. PATRICK JOHNSON:

14 Judge, I mean, there would be other issues, perhaps  
15 of causation or accident course and scope that are  
16 depending upon the ultimate approval and payment issue, so  
17 --

18 JUDGE PALERMO:

19 To solve her problem, she's got to file a 1008.

20 MR. PATRICK JOHNSON:

21 That's what I'm saying. That's why if someone gets  
22 me involved: Hey, Patrick, your client's not approving  
23 us. Okay, let me make a phone call. But, again, there's  
24 no mechanism for that to flow back to us, or to be able to  
25 meet with our insurers, our clients, our peer reviews --

26 JUDGE KELLAR:

27 But the statute that Judge Lundeen is talking about  
28 is 1201(G)?

29 JUDGE LUNDEEN:

30 No, that's when you have a judgment -- an  
31 enforceable, non-appealable judgment. But I would think  
32 that if somebody is refusing or failing to timely respond,

1 and we don't know what that timely is exactly. We know  
2 that it's been approved at the 1009 level, and at that  
3 point, the parties have a certain number of days if they  
4 don't like that decision to file a 1008. I believe that  
5 that is ten days. I'm looking it up for you in the  
6 statute right now, so I can, actually, be accurate, but  
7 it's -- if somebody is aggrieved by the Medical Director's  
8 decision, there's a specific amount of time in which they  
9 have to file a 1009 appeal. If someone has failed to act  
10 within that time, arguably you are aggrieved, because you  
11 haven't gotten what you were supposed to get. So you're  
12 not -- you're not contesting. This is a very interesting  
13 legal question as I'm a sitting up here, so I'm going to  
14 look --

15 UNIDENTIFIED SPEAKER:

16 It's happened on three separate cases.

17 JUDGE LUNDEEN:

18 -- at my colleagues. But the best way to enforce  
19 something is to file the 1008, because you've been  
20 approved, you can't use 1201(G), because there's not an  
21 enforceable, non-appealable final judgment.

22 JUDGE KELLAR:

23 It depends on what complexion you give to  
24 Dr. Picard's decision. You've been through the Medical  
25 Treatment Guidelines, as the statute requires you to do to  
26 get your recommended treatment approved, and your  
27 treatment has been approved, so what happens next is I  
28 think you have to file the 1008 to enforce his approval.  
29 That's for sure.

30 But what complexion we give to the approval is the  
31 issue that you're having. Now, 1201.1(G) says that if any  
32 award payable under the terms of a final non-appealable

1 judgment is not paid within 30 days after it becomes due,  
2 then you add certain penalties. So I understand what  
3 you're saying. Actually, it's in the context of these  
4 town hall meetings that we first heard that the approvals  
5 were not being given the attention that they're due. We  
6 didn't know that before, and so what we're going to have  
7 to do is come up with some manner in which we say that  
8 once you have an approval from Dr. Picard, then the payor  
9 has so many days to act. Because that is a gap in the  
10 2715 that's not in there now. And so we have heard this  
11 before, and so it's incumbent upon us to try to fix that.

12 JUDGE LUNDEEN:

13 And we've just found -- it doesn't directly answer  
14 your question, but under Title 23, Section 1203.1, which  
15 is the (inaudible) that gets everyone to the Medical  
16 Treatment Guidelines, under section J(1), and again,  
17 you're not exactly aggrieved by the Medical Treatment  
18 Guidelines, but it says (Reading): "Any aggrieved party  
19 shall file, within 15 calendar days, an appeal." And that  
20 may be the way that you -- it may be the tool -- the best  
21 tool for now to try and enforce this opinion.

22 UNIDENTIFIED SPEAKER:

23 And we have had a situation that this gentleman was  
24 speaking about earlier where you gave us an -- you gave us  
25 an approval, I came back to the adjuster, and she said:  
26 Well, we have litigation. We're addressing causation. So  
27 we let it lie until they were able to address that. But,  
28 we had two other cases that it was just simply very long  
29 periods of time between the time we got your approval, and  
30 when they were able to -- when they were compliant to send  
31 us a signature to say that they agreed with you and that  
32 they were going to move forward with payment on treatment.

1 So thank you.

2 JUDGE KELLAR:

3 The statute does say that you can appeal the 1009  
4 within 15 days, but that's a separate issue, because the  
5 15 days really is off the table after a Supreme Court  
6 decision. So we need to come up with some kind of way to  
7 make your approval enforceable with the payor. Thank you  
8 for bringing that to our attention.

9 Yes, sir?

10 UNIDENTIFIED SPEAKER:

11 One of the problems that we've been having recently  
12 -- with two patients that I can think of in particular --  
13 these patients have been on medicines for years,  
14 anti-depressants, medicines for nerve pain, and opiates,  
15 and what we've been having is the insurance companies will  
16 send the patient records for a pharmacists review, or  
17 physician reviews. Basically, it says the patient needs  
18 to get off all the medicines. It's becoming more and more  
19 frequent that this happens. So they, basically, quit  
20 paying for any of the medicines. And what happens is the  
21 patients go into withdrawal, they get depressed because  
22 they're off their anti-depressants, they have a lot of  
23 pain, they can't get out of bed. And what we've been  
24 doing is we've been having to find something generic that  
25 they can pay for out-of-pocket.

26 They list the reasons that they're denying this, the  
27 guidelines. But if you look at the guidelines, they say:  
28 Yes, the medicines are appropriate. One thing that some  
29 of them have been doing is saying: Okay, well, we'll  
30 approve it, but you have to send in a 1010 every month for  
31 every medicine they're on. A 1010 for Cymbalta, a 1010  
32 for Lyrica, a 1010 for an opiate. So it's becoming very

1 difficult to treat the patients, when they're using the  
2 1010 as a way to keep from paying for things.

3 JUDGE KELLAR:

4 The circuit courts -- the appellate courts that  
5 rendered decisions advising our workers' compensation  
6 judges whether they made the right decision or not are  
7 split on the issue of whether or not prescription drugs  
8 are subject to the Medical Treatment Guidelines. I think  
9 the First, the Second, and the Fifth have said that  
10 prescription drugs are not subject to the Medical  
11 Treatment Guidelines. The Third and the Fourth have not  
12 spoken.

13 What that means for you is that if you are in a  
14 parish served by the First Circuit, the Second Circuit, or  
15 the Fifth Circuit, you are not constrained to submit  
16 requests for prescription medications to your payor. But  
17 if you're in a circuit that is controlled by the Third and  
18 Fourth, then you have to. And what we're doing is the  
19 office is silent on this issue, because we know there's a  
20 split in the circuits, and we're hoping that it will  
21 eventually go to the Supreme Court, and they will make a  
22 decision as to whether or not prescription drugs are  
23 subject to the Medical Treatment Guidelines. But until  
24 that happens, you will have to follow the circuit in your  
25 location.

26 Now, the way you do that is you go on our website,  
27 laworks.net, and we have a map of the state, and the state  
28 is divided into the ten district offices, one here in  
29 Lafayette, and then the map also shows which appellate  
30 jurisdiction you're in. In Lafayette, you're in the Third  
31 Circuit Court of Appeals. The Third Circuit Court of  
32 Appeal has not spoken on this issue.

1 JUDGE JOHNSON:

2 The Third Circuit has spoken with 1142(B) saying that  
3 prescription meds are under there, they're the same  
4 languages, underneath there -- I don't want to go -- I  
5 halfway have the issue before me, so I don't know how  
6 appropriate it is for me to comment on it, but --

7 JUDGE KELLAR:

8 Not if it's before you, but if you have a previous  
9 decision, you can talk about that one.

10 JUDGE JOHNSON:

11 The 1142(B) with the Rebel Distributors' case,  
12 basically, states that prescription meds are not,  
13 specifically, in the statute, but had no problem with the  
14 way that the judge interpreted 1142(B) to apply to  
15 prescription medications. If you take the statute -- it's  
16 the same language under 1142(B) as it is in another  
17 statute. So the analogy would be that the Third Circuit  
18 would say that prescription meds are --

19 JUDGE KELLAR:

20 Are subject to the Medical Treatment Guidelines.

21 JUDGE JOHNSON:

22 Right. Right.

23 JUDGE KELLAR:

24 So that's still -- that's a split in the circuit.  
25 Some of the circuits say "Yes," and some of the circuits  
26 say "No." So right now what we're doing, as workers'  
27 compensation judges, are following the law in the circuits  
28 which have spoken, whatever it is that they have said.

29 Yes, ma'am?

30 MS. KAREN WINFREY:

31 I'm Karen Winfrey, and I'm representing the Louisiana  
32 Restaurant Association today. I want to go back to the

1 comments you made about appeal time and the amount of time  
2 for the carrier to authorize the treatment once it's been  
3 approved by the Director. And I think what is important  
4 from the employer's standpoint and from a carrier's  
5 standpoint is you do have the 15 days to appeal. And  
6 while all the courts have made their comments on that  
7 time, we still need to take into account the time that we  
8 have for appeal, if we don't agree with Dr. Picard. And  
9 you also have the time frames, and Judge Lundeen  
10 referenced it, the amount of time the carrier has to pay  
11 before penalties are imposed.

12 So I didn't want it to go on and have more comments  
13 before we talk about when you're addressing the time frame  
14 that gives the employer the -- the set time when they have  
15 to authorize or when they have to sign off on the 1010.  
16 You have to keep those two in line. Because the first  
17 thing we're going to ask for and the first thing that's  
18 going to be filed in a 1008 is penalties and attorney's  
19 fees. So we've got to give them enough time, and they  
20 need to be given enough time, if they want to appeal a  
21 decision.

22 I had one recently where Dr. Picard agreed with us.  
23 So are we to just stop everything and not be given the 15  
24 days to appeal? They need their 15 days, but we need our  
25 15 days, plus the amount of time we are allowed by statute  
26 to make payment or to authorize the treatment.

27 JUDGE KELLAR:

28 Well, and I agree with you. It's kind of murky,  
29 because the 15 days is really not 15 days, but the statute  
30 does say 15 days, and so what Ms. Winfrey is saying is you  
31 need it to become final, kind of give them some time.  
32 Although, 15 days is not really 15 days. But that's

1 another issue we can talk about it afterwards. Thank you.

2 Yes, ma'am?

3 MS. GENIE VALOT:

4 Genie Valot. I represent the injured workers. We  
5 just have a few basic access problems. One of them would  
6 be we would ask that the agency please put the treatment  
7 guidelines under the link for workers on the website.  
8 Because right now it's under the employer's link, and  
9 nobody's going to look for it. None of my people are  
10 looking for it. That's the last place they're going to  
11 look on the website. So I think that would be an easy  
12 functional fix.

13 The other problem we have, and I think we've said  
14 this before, is that we are not getting copies of things.  
15 And that sounds like it's not a big deal, but when I tell  
16 you I'm getting copies of denials that don't have 1010s,  
17 so I can't file a 1009. I'm getting them 12 days after  
18 the date that's stamped. I've got 3 days. I'm calling  
19 the doctors like a maniac begging them for copies.  
20 They're busy. I'm calling adjusters who have everything  
21 and give me nothing, ever, and we have a problem with  
22 that.

23 We would suggest that the agency to please shift the  
24 burden of providing. Right now our rules don't state --  
25 there is never a place in the rules that says the claimant  
26 should get anything until there's an actual written  
27 denial. So I have nothing. All we've been talking about  
28 right now, back and forth with the 1010, we never get  
29 that. It's not in the rules. We would ask that that  
30 please be put in the rules, and to shift the burden to the  
31 adjusters to provide what the medical providers provide to  
32 them for certification. So the 1010 and the records that

1 are provided to the adjuster, if they're going to deny it,  
2 make the adjuster send that to the claimant and the  
3 claimant's lawyer.

4 So we can take a little bit of this extra burden we  
5 put on the doctors, and get -- you know, spread the burden  
6 around a little bit and make sure that the claimants and  
7 the claimant's attorney are getting what they need.  
8 Because today, right now, I'm fighting over a surgery,  
9 where the doctor filed a 1009 -- which I had to do a  
10 handstand because I was so excited -- except that they did  
11 it before I got the denial. And they didn't copy me, and  
12 they didn't put me on the 1009, and now I can't get it  
13 from your office, because I'm not on the 1009. So we have  
14 a massive communication hole with the claimants in the  
15 system, and we don't know what to do about it. So we're  
16 looking for solutions on that.

17 JUDGE KELLAR:

18 Thank you, ma'am.

19 We do understand that that is a big problem, and  
20 that's one we've heard repeatedly that the 1010 is sent to  
21 the UR or to the adjuster, but never to the claimant or  
22 the claimant's representative, and so once Dr. Picard  
23 makes the decision, the 15 days has already passed to file  
24 the appeal.

25 So we're aware that there needs to be some -- some  
26 teeth in the Medical Treatment Guidelines to make people  
27 accountable for sending records where they should go. I  
28 think sending it by the adjuster may be the answer we  
29 need. Thank you, ma'am.

30 Yes, ma'am?

31 UNIDENTIFIED SPEAKER:

32 I'm glad that you brought up frustrating interaction

1 with adjusters. But I'm curious as to whether or not  
2 there's anything for us to do at the provider's office  
3 when we have a particular adjuster who is repeatedly  
4 completely defiant to any requests? Doesn't return phone  
5 calls, doesn't return e-mails, doesn't respond to a 1010.  
6 When you finally get them, they say: Well, I never got  
7 it. Can you send it again? Or you talk to the  
8 supervisor, which is a voice mail, and you never get that  
9 call back. And then finally the adjuster calls you back  
10 and, again, says: I didn't get that. Can you send it  
11 again? Do we have anyone that we can alert to the --  
12 that, so that disciplinary action can be taken with the  
13 adjusters?

14 JUDGE KELLAR:

15 You can alert our office, but one of the things that  
16 we have become aware of through these town hall meetings,  
17 also, is that many of the numbers -- fax numbers that are  
18 listed on our websites for the UR or your adjusters to  
19 send your 1010s, they are incorrect. And we think the  
20 onerous needs to be on the adjusters or the UR company to  
21 give us good numbers. When -- The judges, when they send  
22 notices of conferences to claimants, if those notices are  
23 returned unclaimed, because the claimant has not given us  
24 a correct address, we dismiss their case. We make them  
25 accountable for giving us proper information. And I  
26 think, likewise, adjusters and UR companies need to be  
27 held accountable for giving us good information, as well.  
28 You do know that those fax numbers are listed on the  
29 website?

30 UNIDENTIFIED SPEAKER:

31 Yes, ma'am. I don't have an issue with having  
32 correct numbers. I get confirmations. I'm getting

1 everything I can humanly possibly get to prove that it was  
2 sent. There's just that other person on the: Well, I  
3 don't know what happened. You know, whatever their case  
4 may be. I've resulted to, on those particular adjusters,  
5 not just faxing them to them, not just to the patient's  
6 attorney, and not just to the UR, but e-mailing it to  
7 them, and sending a confirmation receipt, so that I have  
8 an e-mail that says it was opened.

9 JUDGE KELLAR:

10 Two things. One, on that list, all you're going to  
11 see is fax numbers, but we're going to require telephone  
12 numbers, as well. And, also, you can give me that  
13 information to the recalcitrant adjuster, or recalcitrant  
14 payor, recalcitrant employer, who seems to deliberately --  
15 fails to comply with the rules.

16 JUDGE LUNDEEN:

17 So this is also another opportunity to, I think,  
18 where you could file a 1009. Because if you have  
19 confirmation at the posted, public number to which this  
20 person is connected, then it is not your problem that they  
21 have lost it, that the dog ate it, that, you know, the  
22 fairies took it away. And their timeline, according to  
23 these guidelines, has started ticking once you have that  
24 confirmation. So that falls into that category of tacit  
25 denial, and so you can treat it that way.

26 UNIDENTIFIED SPEAKER:

27 Our issue with doing things that way is, typically, I  
28 find that if I put a push on an adjuster, I'll get a  
29 response. And that way, I can treat my patient. If I go  
30 through the process and send Dr. Picard every office visit  
31 that they're not responding to me, and I know he's  
32 swamped, and there's, you know, major medical issues that

1 need to be made, and I'm over here fighting for an office  
2 visit, it's just a bit ridiculous, and I'm sure we all  
3 agree with that. So I just wish there was something that  
4 we could do that would be disciplinary action on an  
5 adjuster who is defiant to work the process.

6 JUDGE KELLAR:

7 I don't think there's anything in the rule, at the  
8 moment, regarding disciplinary action, but I would  
9 certainly be willing to make a telephone call, if it's  
10 repeated. So just let me know.

11 UNIDENTIFIED SPEAKER:

12 Thank you. Absolutely.

13 JUDGE KELLAR:

14 Thank you, ma'am.

15 Yes, ma'am?

16 MS. MARIE SEGURA:

17 My name is Marie.

18 JUDGE KELLAR:

19 Stand up, Maureen. Maureen, what's your last name?

20 MS. MARIE SEGURA:

21 My name is Marie Segura, and I have a corollary to  
22 that. It's great that the doctors are held accountable,  
23 that there's all these rules, and the attorneys are held  
24 accountable when they submit their forms. But why isn't  
25 the carrier utilization review departments accountable as  
26 well? In our office, there's one reviewer who -- we look  
27 at the name of the response first, before we look to see  
28 if it's approved or not, and then predict the outcome. So  
29 if there are repetitive people on the carrier utilization  
30 review department that aren't following the guidelines,  
31 sure, we file a 1009, and sure, Dr. Picard is going to  
32 approve it, and sure, we get another 1010, but it seems

1 like a lot of unnecessary work. Is there anything to  
2 address those issues? We can do all that forever, and it  
3 just seems so unnecessary.

4 JUDGE KELLAR:

5 I understand, and currently there is nothing in the  
6 rule, at this time, to address the issues of UR reviewers,  
7 or adjusters, who might be deliberately violating the  
8 rules by denying repeatedly things that aren't in the  
9 Medical Treatment Guidelines. But as I said, one of the  
10 problems we've noticed with the Medical Treatment  
11 Guidelines, based upon the responses from the town hall  
12 meetings and from just working with them every day, is  
13 that there's no teeth, there are no penalties, in the  
14 Medical Treatment Guidelines for persons who deliberately  
15 try to circumvent the rules. So that will be an issue  
16 that we'll be looking at, as well. Thank you, ma'am.

17 Yes, ma'am, back here, and then the gentleman way in  
18 the back.

19 MS. TRICIA:

20 Hello, Tricia with Anesthesiology and Pain. I just  
21 need clarification on the 750 rule, which states if the  
22 fee is going to be more than \$750, you need to file a  
23 1010. Some adjusters will say it's not needed, and the  
24 ones that do require it, all have their own interpretation  
25 of it. Some of them will say it's per visit, others will  
26 say it's for the lifetime of the patient.

27 JUDGE KELLAR:

28 It's per visit, or it's for the lifetime of a claim?

29 MS. TRICIA:

30 Yes, which means by the second visit, the patient  
31 will never be over \$750.

32 JUDGE KELLAR:

1 Have you ever heard anybody say it's per HCFA?

2 MS. TRICIA:

3 Per what?

4 JUDGE KELLAR:

5 HCFA.

6 MS. TRICIA:

7 Huh-uh (Negative response).

8 JUDGE KELLAR:

9 The form?

10 MS. TRICIA:

11 No.

12 JUDGE KELLAR:

13 No?

14 Does anybody want to address that? We have heard  
15 that one, as well, that the 750 threshold that you fall  
16 into, you don't need approval from anybody, but once you  
17 go over 750, then you need to get approval. So we've  
18 heard that some adjusters have said it's per claim, some  
19 have said its per visit, and some have even said that it's  
20 per HCFA, the form that you submit with request for  
21 payment, I believe.

22 And we are aware that it is an issue that we need to  
23 address, and it has not been thus far, but hopefully we'll  
24 do so as we are trying to come up with fixes for 2715.

25 JUDGE LUNDEEN:

26 We can answer it.

27 JUDGE JOHNSON:

28 It's per provider.

29 JUDGE LUNDEEN:

30 It's per provider.

31 JUDGE KELLAR:

32 Where is it?

1 JUDGE JOHNSON:

2 1142(B).

3 JUDGE LUNDEEN:

4 Title 23. You can find this either on our website,  
5 or you can also go to the Louisiana Legislature's website.  
6 If you look in Title 23, Section 1142(B), nonemergency  
7 care (Reading): "Except as provided herein each  
8 healthcare provider" -- so each healthcare provider --  
9 "may not incur more than a total of \$750 in nonemergency  
10 diagnostic testing, or treatment, without the mutual  
11 consent of the payor and the employee as provided by  
12 regulation."

13 And then they go on to talk about other -- (Reading):  
14 "Except as provided herein, the portion of the fees for  
15 nonemergency services of each healthcare provider, in  
16 excess of \$750, shall not be an enforceable obligation  
17 against the employer or the employer's workers'  
18 compensation insurer, unless the employee and payor have  
19 agreed upon the diagnostic testing or treatment by the  
20 healthcare provider." It's pretty clear the statute says  
21 it's healthcare provider.

22 JUDGE KELLAR:

23 Does that help?

24 JUDGE LUNDEEN:

25 And that's helpful, too, because that way, you don't  
26 have to know that the person has already been to a pain  
27 management person, or that the person's already been to  
28 their primary care doctor, emergency room, when you guys  
29 are seeking that medical care under 750.

30 MS. TRICIA:

31 So are we to interpret it as per visit?

32 JUDGE LUNDEEN:

1           Per provider. So for whomever your doctor is -- If  
2 you're Dr. Smith, then Dr. Smith has up to that 750 cap.  
3 It's, certainly, always good to seek permission for care.  
4 You're not always going to have that opportunity in an  
5 emergency situation, and that's what this statute is  
6 designed to address. But be prepared to explain and  
7 justify to somebody who wanted to get paid, that it was an  
8 emergency situation, and it wasn't feeling like an  
9 emergency to you, because we want our healthcare providers  
10 to get paid for the services that they're providing to  
11 injured workers.

12 JUDGE KELLAR:

13           There was a gentleman in the back.

14 DR. HENDERSON:

15           Yes, I'm Darrell Henderson. I'm a plastic surgeon.  
16 I do mostly very, very complex hand surgery. Of course,  
17 there's no guidelines except just the routine carpal --

18 JUDGE KELLAR:

19           Just a minute. Sir? Dr. Henderson? Can you start  
20 over, please? The court reporter couldn't hear your.

21 DR. HENDERSON:

22           Yes. I'm sorry. I'm Darrell Henderson. I'm a  
23 plastic surgeon, and I do mostly hand surgeries. We do  
24 very complex hand surgeries. A very few is just routine  
25 carpal tunnel. And, of courses, there's no guidelines for  
26 anything that I do. In the last four or five months,  
27 we've gotten more and more requests for what's called  
28 "peer-to-peer reviews." And this is some doctor, usually  
29 in California, it's a different time zone, that calls our  
30 office, and we have to talk to him within the next 36 to  
31 48 hours or they -- claim not gets entered. It's  
32 automatically disproved.

1           My secretary tells them the days that I'll be in  
2 surgery, tells them what time our office closes, the fact  
3 that we're in a different time zone, and 80 percent of the  
4 time, the calls are called after our office closes, while  
5 we're in surgery, or when we're not there. And, of  
6 course, it's disapproved. And it really hurts the  
7 patient, because the patient still has this bad injury,  
8 that he -- we can't operate. And then 20 percent of the  
9 time I talk to this doctor and invariably it's a board  
10 certified orthopedist or plastic surgeon. Usually,  
11 they've never heard of the surgical procedure I'm going to  
12 do on the hand, and then kind of remember maybe back in  
13 residency he might have seen one. Then I'll ask him what  
14 records do they have for my chart, and I usually write 15  
15 to 30 pages of reports about the patients when I initially  
16 see them. He says: Well, I don't have your report. He  
17 says: All I have is the 1010, and I have a denial form  
18 that the insurance company gave me to sign by the time  
19 that, of course, it's denied.

20           Is that going to -- The peer review doctors, is that  
21 something that's going to get bigger and bigger and what  
22 -- does it twice. It doesn't really work, because  
23 80 percent of the time you can't even talk to the doctors,  
24 and when we do talk to them, he has no clue what type of  
25 procedure you're talking about doing on the patient.

26 DR. PICARD:

27           I'm not sure that I can address that, because that's  
28 an insurance company policy, and it's not something  
29 that -- and I don't know the law like the judges do, but I  
30 don't think there's any regulations in the law that can  
31 stipulate requirements for that type of interaction that  
32 you're talking about. What type of, you know, person do

1 you have to have, what type of certification do you have  
2 to have? There are no requirements for that. So that's  
3 something that the insurance company decides that we don't  
4 have anything that we can do about it, at this point. Am  
5 I wrong about that?

6 JUDGE KELLAR:

7 We've heard from other doctors' offices that some  
8 utilization review companies outside of this state do a  
9 peer-to-peer review, where they want to talk to the  
10 treating physician -- the physician who has submitted the  
11 1010, and either the doctor's office will give them a  
12 specific time to call to talk to the treating physician,  
13 and they never call during that time. So they end up  
14 denying the request for treatment.

15 The utilization review rules are in Title 40. And so  
16 in some cases, those utilization review rules are  
17 inconsistent with Title 23. And so that needs to be  
18 refined, as well. So that is another issue that has been  
19 raised, and one we will be looking at.

20 DR. HENDERSON:

21 And usually these doctors are board certified  
22 orthopedists, or board certified plastic surgeons. But  
23 those are huge, huge fields, they're not -- it wasn't hard  
24 for any of them to do the complex hand surgery. So this  
25 kind of leaves us out -- either not talking to anybody, or  
26 talking to somebody and they don't have a clue what you're  
27 talking about.

28 JUDGE KELLAR:

29 Thank you.

30 Yes, ma'am?

31 MS. JENNY VALOIS:

32 Jenny Valois. I have a question just on a

1 logistical -- the way that I see things in our office. We  
2 have a lot of carriers, and, of course, we do litigation,  
3 so we don't see everything so, you know, we're seeing some  
4 things that are in litigation, and some that aren't. But  
5 we have carriers who like to use the Medical Treatment  
6 Guidelines when it works for them but mostly ODG, and then  
7 they also want to use the SMO system. And we're using  
8 both systems in all the cases, and it's getting a little  
9 bit crazy. And I'm wondering if the agency is going to  
10 address that or how we handle that.

11 I've noticed that the SMOs never really address the  
12 medical guidelines themselves. They render the opinion.  
13 So with the treating physician talking about the  
14 guidelines, and then we have an SMO who never mentions the  
15 guidelines, then we get a Medical Director, who mentions  
16 the guidelines. It's just -- it's kind of everywhere.  
17 And we're using both systems in a lot of our cases, and  
18 I'm not really sure that was the intent. I'm not really  
19 sure how to stop it. We sometimes file a motion for  
20 protection, we sometimes don't, because there's not enough  
21 time. I just -- I thought I would just put that out there  
22 that I hear this from a lot of defense lawyers, too.

23 JUDGE KELLAR:

24 Is the SMO used to support the denial of the 1010?  
25 Is that what you're saying?

26 MS. JENNY VALOIS:

27 It's, actually, not really done in time to support  
28 the denial of a 1010. It's typically done when we see the  
29 surgery coming down the line. We usually -- they get the  
30 SMO right about the psychological evaluation time, you  
31 know, or right when you get that diagnostic that's  
32 positive, which I don't really have a problem. You know,

1 they're statutory. They're allowing SMO, but I'm  
2 wondering why we're not requiring SMOs to apply the  
3 guidelines. If we're going to have statutory allowed  
4 SMOs, they should have to apply the guidelines -- our  
5 Louisiana Guidelines so that the treating doctors have to  
6 use, because the opinions never apply to us, and then it's  
7 really apples and oranges at that point. It's a problem.

8 JUDGE PALERMO:

9 There's usually more than -- When the SMO that I've  
10 seen -- When they're using an SMO, and they're also going  
11 through the medical guidelines, what I've been seeing is  
12 that there's more an issue of just medical treatment. And  
13 so the SMO is asked to address certain things, or certain  
14 aspects of the case. And then they have the Medical  
15 Treatment Guidelines, which goes just to the treatment.

16 And then I'm also having -- from the other side I'm  
17 getting the argument from the plaintiff's behalf that if  
18 all they do is go through the Medical Treatment  
19 Guidelines, that that's not competent medical evidence,  
20 and therefore, they are entitled to penalties and attorney  
21 fees, because treatment's being denied without competent  
22 medical evidence. So they're saying: Okay, well, I'm  
23 going to get an SMO, so that argument can't be made. And  
24 as far as I know, that hasn't been presented to the Court  
25 of Appeals yet, so I don't know what's going to happen.  
26 But that's the situation. There may be a causation  
27 question, there may be some other question besides just  
28 whether is this treatment necessary or not. So I don't  
29 know if there's much we can do, because we have the  
30 separate issues.

31 JUDGE JOHNSON:

32 I'll take that a little bit further. I don't have

1 the answer to this question, but the issue is is whether  
2 UR's competent medical advice, where you got the Perrin  
3 versus St. Landry aspect of it, and then following  
4 reliance on the process, which calls for it to be UR.  
5 It's either going to be penalty and attorney fees, or it's  
6 not. I don't know the answer to that question.

7 JUDGE KELLAR:

8 Anything further?

9 Yes, sir?

10 MR. DAVID BANKSTON:

11 My name is David Bankston, and I represent the  
12 injured workers. And one of the problems that I've seen,  
13 that this lady referenced, is the system seems to have  
14 been currently designed to improve or speed up is the  
15 goal -- speed up the medical review process between the  
16 doctors and the adjusters, but it has taken the injured  
17 worker and his representative, basically, out of the  
18 process for all intents and purposes.

19 I rarely know that there's even a problem, because  
20 when I call my client, after I haven't heard from him for  
21 two months, and he's sitting at home waiting on his three  
22 month follow-up visit, he doesn't know what's going on.  
23 And by then all the delays for appealing or pursuing some  
24 sort of remedy had expired. So there needs to be some  
25 mechanism, whether it's notice to the employee -- yes, the  
26 employee or his representatives, but even at that point,  
27 what is -- what is my role in that process? It seems like  
28 it's, basically, down to the burden of being on the  
29 medical staff to fight these fights and then taking us out  
30 of it.

31 And I think all of the major providers in this arena  
32 -- the orthopedists, neurosurgeons, and all these people,

1 have now dedicated staff, and probably have full-time  
2 employees just to deal with these forms, but you get a lot  
3 of smaller providers, like a GP -- you sending a guy to  
4 his family physician for a first visit, they may not know  
5 how to do this, or they probably don't want to do this.  
6 I've got a psychiatrist in Lake Charles, they don't want  
7 to have anything to do with this, refuse to file a 1010.  
8 So, basically, I'm precluded, as far as I see, from even  
9 raising that issue with the Court. I can't file it as a  
10 disputed medical treatment, because the provider cannot,  
11 or will not, choose to engage in a fray. So I can't  
12 protect my client's interests in pursuing something that  
13 hasn't been through the mechanics of this process.

14 So that's -- Is that solely now the medical  
15 provider's burden to fight that fight, or is the claimant  
16 and his attorney or representative have any options in  
17 getting involved in that fight?

18 JUDGE KELLAR:

19 The Medical Treatment Guidelines, or the manner in  
20 which injured workers receive medical treatment after the  
21 implementation, and the system is flawed. We do  
22 understand that, but we think overall it is a better  
23 system than the previous one, because the majority of  
24 cases employees are able to get the medical treatment  
25 recommended by the healthcare provider in a much shorter  
26 time than they did under the previous system. And it  
27 would appear that this system is less costly than the  
28 previous system, because you don't have to do your  
29 treating physician, employer physician, the IME, the  
30 depositions, the medical costs, or wait sometimes a year  
31 before you can have a trial on the merits as to whether or  
32 not the recommended treatment was reasonable and

1 necessary.

2 In the vast majority of the cases, even though the  
3 system is flawed, the injured worker gets the medical  
4 treatment that his physician has recommended. So the  
5 answer to your question is 1) yes, this is the system that  
6 a doctor has to use, 2) this is the system that is a  
7 mainstay of Louisiana Workers' Compensation system, and  
8 there's no intent, at this time, but though it may be just  
9 a wash, but we're here at these town hall meetings so that  
10 we can learn from you guys in the trenches what the  
11 problems are and try to address those problems to make it  
12 easier, not harder.

13 We don't want doctors to leave the Workers'  
14 Compensation system. We have had many to leave already.  
15 We don't want more to leave. But we want to make it  
16 easier for them to practice, using the Medical Treatment  
17 Guidelines.

18 Yes, ma'am?

19 MS. JAN BARBER:

20 Jan Barber from Barber Law Firm. We represent only  
21 injured workers. And, Your Honor -- or sorry, Director  
22 Kellar, while the intent of the guidelines may originally  
23 have been to provide faster medical care, even medical  
24 care without litigation, I just want you to know that in  
25 our office, right now, every single surgery that Dr.  
26 Picard has approved is now being scheduled for an SMO,  
27 based on causation. The claims are five years old, some  
28 of them. And so while your intent of the guidelines is  
29 noble, as we in the trenches are trying to apply and use  
30 them, they are not having that intended effect.

31 So unless and until we together cause the -- close  
32 the causation issue, or define which system we're all to

1 operate under, then your intent cannot be met. And who is  
2 suffering is our people who need multiple level surgeries,  
3 Your Honor -- Director. So when we go forward, please  
4 understand that your system is being hijacked.

5 JUDGE KELLAR:

6 I appreciate your comment, but I think one of the  
7 reasons we're here is to hear from you how you believe the  
8 system is being hijacked. As we said, there's some things  
9 that you guys see that we were never aware of, and through  
10 these town hall meetings we're becoming aware of problems  
11 that you're having that we had never envisioned before.  
12 So thank you for your comment.

13 Let's go over this side and then around. Mark?

14 MR. MARK:

15 Yes, ma'am. I'm going to follow up on her comment.  
16 You know, the 1010 forms have a line that says it's  
17 "Denied for causation" and they have a line that says it's  
18 "Denied for not being within the guidelines." And  
19 repeatedly I'm getting cases where it's denied for not  
20 being in the guidelines. We file a 1009, we get it  
21 approved, and then they tell us: Oh, well, no, now  
22 they're challenging it for causation. And the rules seem  
23 to allow that, and that does lead to horrible delays that  
24 I don't think were contemplated in the system. I would  
25 suggest that the guidelines should require carriers to  
26 make a decision on that, and if they don't put causation  
27 on their 1010, then they shouldn't be able to raise  
28 causation six months later, after we finally get the thing  
29 approved.

30 JUDGE KELLAR:

31 Thank you. Go that way, Mike.

32 MS. KAREN:

1           Hi, I'm Karen. I'm on the reverse side of what  
2 you're talking about who should --

3 JUDGE KELLAR:

4           Karen, can you speak up?

5 MS. KAREN:

6           Oh, sorry. Who should push this process? Should it  
7 be the doctor, or should it be the attorneys that are  
8 representing the injured workers? From the carrier side,  
9 we believe it should be the doctors. It's supposed to be  
10 a medical decision. It is not supposed to be a litigation  
11 decision. And I will tell you that I have had situations  
12 where a doctor puts in a 1010 for surgery A, we deny, then  
13 he will send a request for a different surgery, surgery B,  
14 and we were told by the doctor's office that the  
15 plaintiff's attorney has told them to hold off.

16           Now, surgery B is approved, surgery A is denied.  
17 Who's moving the process? Is it the plaintiff's attorney  
18 who say: Wait a minute, I'm going to schedule this  
19 medical decision doctor, even though you requested surgery  
20 A, it was denied, and now you changed your mind, and you  
21 requested surgery B, which has been approved. Surgery is  
22 not being scheduled. Why? Because the plaintiff's  
23 attorney is telling the doctor: Hold off until we finish  
24 the process on surgery A. What do we do as the insurance  
25 carrier? At what point in time is surgery A off the  
26 table? Or can we force him to do surgery B, since they  
27 asked for it, and we approved it. Again, it's putting  
28 non-medical people in the process, and that's not the way  
29 it should work. It should be medically necessary, and  
30 then move on.

31 JUDGE KELLAR:

32           Thank you. The back, Mike.

1 MR. STEVE REESE:

2 My name is Steve Reese. I'm a local physiatrist.  
3 I've been dealing with the workers' comp system for 25  
4 years, and probably at least 25 times a day, I tell myself  
5 I'm not going to deal with the workers' comp system  
6 anymore. And looking at -- kind of piggybacking on some  
7 of what Mark was saying, part of the problem that I see  
8 was one time during a deposition I was being asked by an  
9 attorney: Don't your litigation patients take much longer  
10 to get better? I said: Yes, they do. But if I had a  
11 private insurance patient, they'd come in to my office,  
12 and I'd order an MRI -- Unfortunately, then you need  
13 another private insurance injury attorney -- but this was  
14 a few years back, I said: I will have an MRI in a week,  
15 and then I will make a decision on that MRI. And then I  
16 will say: Well, maybe I want to get them some physical  
17 therapy. And I will get the physical therapy, and, you  
18 know, in a few weeks I'll have them back and I'll look and  
19 see how they've improved. From that, I will say: Well,  
20 possibly they might need an injection, and I will do the  
21 injection.

22 So within a two-month period of time, I have gone  
23 through a treatment plan. I have an idea of whether or  
24 not this diagnostic and therapeutic treatment plan is  
25 going to lead us in the direction we want, or do we need  
26 to reevaluate what's going on. When I have the same  
27 patient come in from workers comp, and I order an MRI, in  
28 two months I might get the MRI completed. And then I will  
29 say: Okay, I've looked at the MRI, and now I want to get  
30 physical therapy. But it might be another two months  
31 before the physical therapy is approved. And now at the  
32 end of that time -- so now we take a process that could be

1 done in a short period of time and we're now at a six to  
2 nine month period of time. And we think of that as due  
3 process, and we turn it into a chronic process. And it  
4 isn't because of anything the patient particularly has  
5 done. It's because of something the system has done.

6 And another issue dealing with the guidelines, I was  
7 in the mid-90s, and I was part of -- when they first  
8 started talking about let's come up with some workers'  
9 comp guidelines. That was part of what we do was looking  
10 at this and doing this, and it was never the intention the  
11 guidelines were the difficult laws of nature. They're not  
12 like gravity. That's okay. They were guidelines. And to  
13 have somebody come into your office and have a  
14 ten-year-old process -- those guidelines, they don't fit  
15 anymore. People try to act like: Okay, you got hurt, you  
16 know, last -- you know, three months ago. So it's the  
17 exact same situation as if you got hurt ten years ago.  
18 And it's just not the same process, and it doesn't appear  
19 to be any common sense. It's how the system works,  
20 because it's not designed to, at least from a  
21 practitioner's standpoint, to get somebody back to work in  
22 a timely fashion, which is really what your goal should  
23 be, to return them to whatever maximum level of function,  
24 whether it's back to work or not.

25 Sometimes, they're not going to get back to work.  
26 You have somebody that they, you know, they come in and  
27 they're now a quadraplegic. Their chances of returning to  
28 their previous job are not really very high, but we should  
29 try to get them to whatever maximal level of function that  
30 we can get them to. And I think the focus is gone away  
31 from -- because of the system it's gone away from the  
32 person who is in the center of whole processes, you know,

1 who probably didn't want to break his leg in a car wreck,  
2 but he did, and now let's see what we can do to make the  
3 world best for him, not what we can do to drag him on and  
4 treat him like he's some sort of criminal. And that  
5 really is what it seems like a lot of times, from the  
6 practitioners standpoint. And so if there is a way that  
7 we can say: Okay, good we're going to use these  
8 guidelines at least in a streamlined fashion, we will get  
9 people to whatever level of function we can.

10 We get a conclusion of cases much bigger, which is  
11 what everybody really wants, but the system drags it on  
12 and then, of course, the patient then by this time is  
13 getting frustrated. And so now they say: Well, you know  
14 what I didn't do it before, but now, I'm going to get an  
15 attorney. And as we all know, once that happens, then,  
16 you don't know -- nothing -- there's nothing against my --  
17 my attorney rathered it in this -- in this group -- but  
18 once that happens it throws everything into a new level of  
19 confusion.

20 And so, you know, I know that this group may not have  
21 the answers to this, but I can tell you, I've watched it  
22 happen and it hasn't gotten better with the implementation  
23 of these guidelines. It just got worse. It's a way for  
24 people to hide behind reasonable and rational decisions.

25 JUDGE KELLAR:

26 Thank you.

27 Yes, ma'am?

28 UNIDENTIFIED SPEAKER:

29 This is my last comment. One thing I think would  
30 help is -- I know, Director Kellar, you and I have worked  
31 on -- I had a 1009 rejected, and I swore up and down, and  
32 even put in my 1009 that my office did not receive the

1 fax. The UR denial said that it was faxed to my office,  
2 and we checked both physical offices -- scrambled,  
3 checked, we never received it. We received it on the date  
4 -- we stamp our mail, and it's, basically, an affidavit,  
5 attached to the 1009 and said this is the date you  
6 received it. Our filing of the 1009 should be within 15  
7 days of the day we received it. And there was an issue  
8 that it was rejected, and we had to get everybody on the  
9 phone with LWCC and work out all of those facts.

10 My suggestion would be that regularly, when we go to  
11 court, the prescription issues and the filing issues are  
12 factual issues. And I took a little bit of umbrage with  
13 the fact that the Office of Workers' Comp absolutely took  
14 the adjuster's word, or the UR person's word, that they  
15 faxed it, over my same exact words saying I never received  
16 it. That's a factual decision. And I think that the  
17 office maybe shouldn't be making those. If there's going  
18 to be an issue, you know, or whoever is taking in those  
19 initial 1009s and doing that initial screening, if that --  
20 they're making factual determinations that are going to  
21 impact where my clients, you know, when their treatment's  
22 going to occur. Also, whether or not I've met my legal  
23 obligations to my clients.

24 And so I just -- I hope that we -- going forward can  
25 work on those issues. And I would say that I think most  
26 clerk's offices do not raise prescription defenses, we  
27 leave that to the other party. And I think maybe we  
28 should just -- it would be a decent solution to just do  
29 that. Let the employer say: You know what, that's too  
30 late filed. And take the State out of that mess that we  
31 kind of created.

32 JUDGE KELLAR:

1 Thank you.

2 Joe?

3 MR. JOE:

4 I had a similar issue that's come up. I think I have  
5 it worked out with the adjuster, but the case is with an  
6 attorney, and I'm sure it's going to be a different issue.  
7 A 1009 appeal was filed by my office, by an e-mail. It  
8 was filed on a Thursday. I get a letter on the next  
9 Wednesday stating: We've received your 1009 appeal. And  
10 it's got the date. Well, the date that the office issued  
11 the letter was outside of 15 days. The adjuster  
12 immediately called me and says: Hey, you didn't appeal  
13 the 1009 within 15 days. I said: No, go back and check  
14 your e-mail. I e-mailed it, and I copied you. And she  
15 pulled it up and goes: Yeah, I see, you're right.

16 But the problem is what came from your office, on its  
17 face, shows that when you look at that letter compared to  
18 the UR denial, I didn't have it filed on time. But I did.  
19 But the letter that you sent out doesn't say: We received  
20 your 1009 filed on such-and-such a date. It just says:  
21 We received your 1009 filing -- I think it says this day.  
22 Or we received your 1009 filed. And it just has the date  
23 of the letter, it doesn't say when. Used to be, when I  
24 filed it by e-mail, I'd get a fax within four or five  
25 hours, maybe a day. Now, I'm getting it by regular mail  
26 quite a few days later, so I can see that being an issue  
27 further on down the line.

28 JUDGE KELLAR:

29 Michael, didn't we just address this issue?

30 MR. MICHAEL PIPPINS:

31 We did -- If you're talking about the rejection  
32 letter, it's now --

1 MR. JOE:

2 No, this wasn't a rejection -- This was just showing:  
3 Hey, it's been filed. We'll get you your response at the  
4 end of 30 days. The Notice of Receipt has no date filed.

5 JUDGE KELLAR:

6 I think we just addressed that issue. Just put the  
7 date in there that we actually received it, instead of  
8 just saying that we received it. But it's a change we  
9 just made.

10 MR. MICHAEL PIPPINS:

11 I'm pretty sure I changed it, but I will double check  
12 it as soon as I get back tomorrow -- (inaudible)

13 JUDGE KELLAR:

14 Court Reporter, can you hear Michael?

15 Michael, she couldn't hear you.

16 MR. MICHAEL PIPPINS:

17 Oh, I'm sorry. I believe that I did change that date  
18 on that letter, but I will double check that as soon as I  
19 can get back on our network PCs at home.

20 JUDGE KELLAR:

21 Thank you.

22 Yes, ma'am?

23 UNIDENTIFIED SPEAKER:

24 Speaking of that response to the receiving of the  
25 1009, it would be helpful if we could also have what the  
26 1009 was for. Because on some patients we may be  
27 submitting multiple 1009s for multiple things. And so  
28 when we get that thing that says: We've received it.  
29 We're going to review it. It doesn't say what. And  
30 sometimes, the attorney may have filed the 1009 on behalf  
31 of the patient, and so I get it because we're the  
32 provider, and I'm, like: Oh, which one -- What are they

1 filing the 1009 for? So it's not super clear on that  
2 letter what 1009 you've received, or at least what you're  
3 going to review, as per that particular 1009. And the  
4 other thing -- I'll think of it again.

5 UNIDENTIFIED SPEAKER:

6 Just a few issues from somebody's that's handling the  
7 defense side, and dealing with this daily. I think most  
8 people who work with me on the law side would say that I  
9 tend to be pretty reasonable. Some things that we see a  
10 lot of -- we still, like I mentioned earlier, receive  
11 requests without medical records. You need to provide  
12 that. And I know 95 percent of y'all do it, and I'm sure  
13 do it in your sleep now. But we still occasionally get  
14 it.

15 And another problem, whenever the peer review denies  
16 something, quite often, it's not just a tacit denial,  
17 like: We're not going to approve it. Or sometimes it's  
18 more then just: It's not covered under the guidelines.  
19 On occasion, it's: We don't have this record, or we don't  
20 have test results. Please take the opportunity to review  
21 it, and make sure it's not simply a situation where some  
22 information that the peer review doctor needed, didn't  
23 have it. That's something that can usually get resolved.  
24 You can call the doctor, you can call the adjuster and  
25 say: Look, the doctor said he didn't have the EMG  
26 results. Here are the EMG results. Now, again, it does  
27 cause a little confusion with the timing issue. But  
28 giving the doctor the EMG results that he can review is a  
29 lot faster than filing a 1009 to Dr. Picard, who's going  
30 to ultimately say: I don't have the 1009 that's on file.  
31 That's just a small fraction, but if the care of your  
32 patient is that important, that small fraction is

1     incredibly important to that particular patient.

2             There is always -- and I know it's frustrating, and  
3     I don't want to talk about causation. As the case  
4     develops, we may well see a case from one perspective as  
5     an attorney, that may be entirely different from how we  
6     see it six months or a year from now. You're doctors,  
7     you're nurses, you're treatment facilitators -- and God  
8     knows I've probably been in front of most of you at some  
9     point for my own issues -- but at the end of the day,  
10    you're dedicated to getting that person the care that they  
11    need. My job is to find out whether they need it or not,  
12    because of the accident. Our physicians aren't, or  
13    shouldn't be, adversarial. We're both trying to find the  
14    same thing. Is this person entitled to this medical care  
15    because of this accident. And, unfortunately, we don't  
16    have enough adjusters here, we don't have peer review  
17    physicians here.

18            But take the time to get to know most of these  
19    adjusters. A lot of them are overworked, and I know there  
20    are some who do engage in the little games of: I didn't  
21    receive that. So you know what you do? You send them a  
22    fax. Why? You send it in an e-mail -- Whenever we  
23    subpoena medical records, or whenever your attorney  
24    subpoenas the medical records, unless you're willing to  
25    print out all your e-mails, that attorney -- your attorney  
26    does have confirmation as we discussed. Send them a fax.  
27    That way I can go to my adjuster and go: Hey, there's a  
28    fax. You said you didn't receive the fax from the doc of  
29    -- Yeah, here's the fax. You need to go over there and  
30    mark an entry for doc. I will do that. Will a lot of  
31    defense attorneys do it, I'd like to believe so. But you  
32    need to be able to give me something that I can go to my

1 adjuster and go: Look, the doctor says here is what he  
2 sent you, and here is when he sent you. Count those  
3 dates, he's timely.

4 Now, as for the other billing issues, I know we  
5 aren't -- there's a lot of level four, level five. Ladies  
6 and Gentlemen, you know we need to go into the medical  
7 records to get billed out level four, level five. I still  
8 get routine follow-up, no additional care requested, same  
9 complaints, same problems, same limitations, here's the  
10 treatment. And there's going to be requirements for a  
11 level four and level five. There are other issues, which  
12 I'm sure are our fault or our adjuster's fault. That's  
13 just one issue we've been seeing a lot of. If you could  
14 have the documents correct that. It's just one little  
15 issue we don't have to deal with on a daily basis, and  
16 that's really all I have to say today.

17 JUDGE KELLAR:

18 Thank you.

19 Why don't we start with this young lady right here.

20 Yes, ma'am?

21 MS. PAULA JENKINS:

22 Hi. I'm Paula Jenkins, I work for Our Lady of  
23 Lourdes. It's a self-insured employer, and I'm the  
24 adjuster for our workers' comp, professional liability,  
25 and general liability. So with that being said, that's a  
26 lot. So when I get a 1010, and I look at those medical  
27 records -- and for me, for a small employer, I actually,  
28 know my injured workers quite well. Many of them have  
29 been with us for decades. There's nothing more important  
30 to me than getting them diagnosed quickly and treated  
31 appropriately. If they need surgery, well, then I want  
32 them to have it. I want them back at work. They have

1 many years invested in their education, and in their  
2 careers, and I want them back to work. I don't want  
3 anybody to lose their career.

4 That said, I'm looking at that 1010, underneath those  
5 medical records. Occasionally, I do have to send it out  
6 for peer review, because I'm not a physician. If  
7 something is quite complex -- I had one not too long ago,  
8 it was a triple level fusion and the Medical Treatment  
9 Guidelines don't really call for that, but still I wanted  
10 to send it out. But when I send it out, all I'm sending  
11 is the medical records that were, actually, attached to  
12 that 1010. I used to, when I had a second adjuster, we  
13 would, actually, go back through our records, and attach  
14 additional things to either -- in support or whatever, but  
15 now I don't have time for that. I send whatever was  
16 attached. That's going out for the peer review. So if  
17 it's not all there -- it's not all there. I count the  
18 pages. If you send me a fax that says: I'm sending ten  
19 pages, the first thing we do is go through and count that  
20 I get ten pages. If I didn't, I'm calling the office: I  
21 only got eight pages.

22 So I'm really trying hard to work with our providers.  
23 We have personal relationships with most of our providers.  
24 They work at Lourdes. They do surgeries at Lourdes. I  
25 really want to do what's best for my employees. I do want  
26 to comment on this 1009 process that she brought up, is  
27 I'll get the 1009 back. They usually denied something,  
28 not often, just denied three out of four things because of  
29 the appropriate documentation wasn't there. When I get a  
30 1009 back, I really do need to know what's being appealed.  
31 Which of those three things. So that would be super  
32 important, just like you said, to know what is being

1 1009'ed. What precisely. Because we don't know that.  
2 All I get is something that says: Hey, it's going -- and  
3 I'm like: Well, which one? Which thing is going? Is it  
4 the pharmaceuticals? Is it the procedure? What is it?

5 And I guess that's the only other thing I wanted to  
6 say is I appreciate all these providers that are here, and  
7 I can't even imagine how complex and difficult your work  
8 is. Just know that if you have a workers' comp claim for  
9 Lourdes, call me. I want to do what's right. I know that  
10 employee. I know them well. I want to get them back to  
11 work. So don't think I'm not trying to do it. I pray  
12 about these people every day. Please, God, help them.  
13 Get them back to where they need to be. So let me help  
14 you. If I'm not doing it, it's not on purpose. I really  
15 may not have gotten that fax. Honestly. Thank you.

16 JUDGE KELLAR:

17 Thank you, ma'am.

18 Let's go to the gentleman in the back, and then we'll  
19 come forward again, Mike.

20 DR. MATHIS:

21 Dr. Mathis, hello again. I just wanted to address  
22 the psychological evaluations before some of our pain  
23 procedures, and before spinal surgery. One of the  
24 problems that we've gotten into is there's nobody that  
25 wants to do that psychological evaluation. I just heard  
26 this week that there's one doc that's new here in town  
27 that's willing to take a few, but that's been an ongoing  
28 problem over the years, trying to get the patients in to  
29 see a psychologist. And then having to do a 1010 to get  
30 that patient to see the psychologist, and having it denied  
31 when it's in the guidelines that they're supposed to see a  
32 psychologist. It becomes real difficult to move on with

1 the treatment of the patient when the -- when there's no  
2 psychologists and then when a psychology evaluation is  
3 being denied.

4 One of the things that I would suggest in the future  
5 is just removing that from the guidelines -- for future  
6 guidelines, because as I said, nobody wants to see these  
7 patients. I asked one of them one time why they didn't  
8 want to see the patient. They said: Well, there's a lot  
9 of paperwork involved, and also, we'll make all these  
10 recommendations, and then the recommendations aren't being  
11 approved, such as further counseling, coping techniques,  
12 biofeedback. They're not getting approved, so we just  
13 quit seeing those patients.

14 JUDGE KELLAR:

15 Thank you.

16 This lady right here, and then the gentleman in the  
17 front.

18 UNIDENTIFIED SPEAKER:

19 So my question would be -- and I think I know what  
20 the purpose was of the psychosocial eval prior to fusion  
21 surgery, was to stipulate whether or not the patient's  
22 aware of what that is, aware of what's going to be  
23 required after, you know. The problem that we're having,  
24 and that I had on two different occasions, is that we have  
25 patients that are not literate, and so going for the  
26 psychosocial evals, they were not able to complete some of  
27 the testing that was required, some of the paperwork that  
28 was required, so the psychologist could not make a  
29 determination whether they felt that they were or were not  
30 a candidate for surgery. And then that patient's care  
31 kind of stops. So how do we -- What do we do as the  
32 provider to address that. I know it doesn't happen often,

1 but unfortunately, it's happened twice lately in our  
2 practice.

3 And the other thing was they were talking about work  
4 statuses earlier, and that whole stipulation of "Unable to  
5 work, pending treatment." I think it would help us, and  
6 what I started to do is request a job description, either  
7 from the adjuster or the employer, so that our physician  
8 can be more specific. Because sometimes, the patient's,  
9 like: Well, I'm a cashier. You know, or: Well, I'm a  
10 this. And we don't really know what that entails for that  
11 particular employer. So we get the specifics of what's  
12 required, and then we can address it more specifically.  
13 So if the adjustors would be more readily -- have access  
14 to job descriptions. Because sometimes they don't have  
15 them. And so to get to an employer is even more  
16 difficult. And to make it the burden of the patient, I  
17 just fell like, you know, it can be kind of unfair.

18 So if we could get job descriptions at the beginning  
19 of the claim, I think it would be helpful. And then,  
20 again, with the psychosocial eval, how do we address a  
21 patient that just is incapable of making it through that  
22 interview?

23 JUDGE LUNDEEN:

24 On the psychosocial eval, because I've heard this  
25 from some of my friends, from medical providers, if there  
26 is an attorney involved, a lot of the times the attorney  
27 -- and I know it's probably the last thing most of you  
28 want as doctors -- but you can get the attorney to go in  
29 there and read the documents to the individual, and  
30 hopefully write exactly, like a translator, what the  
31 injured worker is stating.

32 An alternative is to have a family member, who is

1 literate, which is probably a better option, at least from  
2 the standpoint of bias, is to have a family member who is  
3 willing to help that person and come in and be their  
4 patient advocate, to the extent that they're going to read  
5 whatever it is you need read, and fill out the  
6 questionnaires. And it's something you all should be  
7 asking ahead of time. And it's an uncomfortable question,  
8 so sometimes what I find works better, at least in the  
9 courtroom setting, so that we don't embarrass people, is  
10 we'll ask not the question: Can you read or write? But  
11 we'll ask: I know that sometimes these forms can be long.  
12 Would it be helpful to have someone come with you, who can  
13 help you read them and write all of this information?

14         And that can be beneficial for you guys. Or if you  
15 guys are a big enough facility that you can dedicate, you  
16 know, the four hours, instead of the two hours, to sit  
17 there and help the person through it. Now, obviously, it  
18 wouldn't be you guys because you're orthopedist, but for  
19 the pain management person. But that's something that  
20 maybe the pain management person needs to know when you're  
21 -- or the psychosocial or the neuropsych needs to know  
22 before you guys are sending them over is, this person may  
23 have either a language barrier -- Because that's another  
24 thing that comes up. If all the forms are in English and  
25 you don't have an English speaking patient, then you can  
26 get all kinds of crazy stuff that comes out there, because  
27 the person's trying their best to navigate the system, but  
28 literally can't.

29         So I think that those are all alternatives, and  
30 they're something that you all should explore. Also,  
31 please remember that if you are going to have someone  
32 who's going to have to have someone read something to them

1 or translate something for them, that you're actually  
2 going to need to give that person extra time before the  
3 scheduled evaluation.

4 DR. PICARD:

5 Also, just one other thing to say. The guidelines  
6 specify a psychosocial evaluation, and they don't specify  
7 a specific type of psychosocial evaluation, or what form  
8 that evaluation should have. So if the evaluator is  
9 comfortable doing it without any type of written  
10 materials, but doing it by questioning the patient, then  
11 that's fine. That would depend on the provider, though.

12 UNIDENTIFIED SPEAKER:

13 And I agree with that. The problem is because the  
14 guidelines don't stipulate what is required to satisfy the  
15 requirements, the psychologists are doing as much as they  
16 possibly can. So maybe if the guidelines were more clear  
17 as to exactly what is a sufficient psychological  
18 evaluation, then perhaps maybe that would eliminate the  
19 in-depth interview that's being done at this time.

20 DR. PICARD:

21 Actually, it would be better to keep it as it is,  
22 because the more you specify they have to do, that means  
23 that is required to be done. If it's not specified, that  
24 means it's up to you, the provider, to make a decision of  
25 what's appropriate, and then that's what we accept.

26 UNIDENTIFIED SPEAKER:

27 Okay.

28 UNIDENTIFIED SPEAKER:

29 But from the defense side, I would tend to agree with  
30 her that there needs to be some sort of limitations.

31 Because we oftentimes see that psychosocial evaluation as  
32 an opportunity to give them an end with regards to

1 psychological treatment. So if it could be simply  
2 something directed to: Are you comfortable with a  
3 surgery? Do you understand the potential outcome,  
4 negative, positive? Do they have the psychological  
5 ability to make that determination? That would, to me,  
6 satisfy, 1) her not needing to find a doctor who could  
7 conduct a three-day examination, but would allow us to  
8 then not be so fearful of: Now, they're going to try to  
9 make a case out of psych.

10 DR. PICARD:

11 I would agree with you that everybody doesn't need to  
12 see a psychiatrist. So if it would be more specified that  
13 the provider can ask particular questions and make that  
14 determination, and that psychosocial evaluation can be  
15 done by the provider. The question is is an orthopedic  
16 doctor capable of doing that? Do they want to do it?  
17 That's very complex. It's going to vary from provider to  
18 provider.

19 JUDGE KELLAR:

20 Well, maybe we can define the parameters of the  
21 psychosocial evaluation?

22 UNIDENTIFIED SPEAKER:

23 Right. That's kind of where I was going with that.  
24 It's just that it's so kind of general and the  
25 psychiatrists that are willing to do them --

26 DR. PICARD:

27 They're very detailed.

28 UNIDENTIFIED SPEAKER:

29 They're very detailed, and they're requiring two  
30 payments from the carriers. And the carriers are not  
31 super happy, and I don't blame them, for getting a prebill  
32 for \$4000.

1 MR. MICHAEL PIPPINS:

2 Yeah. I disagree with that. I think it's definitely  
3 overkill. It's way more than is necessary in most cases.  
4 So we could certainly consider doing something when the  
5 guidelines are revised on that particular issue, and that  
6 is one of the things that is going to be discussed.

7 UNIDENTIFIED SPEAKER:

8 Thank you.

9 MR. CASEY:

10 My first name is Casey. As a registered nurse, we're  
11 seeing a troubling trend that could affect numerous  
12 things. And what we're seeing is that medical conferences  
13 are very important for that registered nurse who assisted  
14 with, you know, the patient, and that kind of rare-like  
15 liaison between the insurance carrier and the injured  
16 worker. And so we want to do a medical conference, and  
17 what we're seeing in certain areas that we do throughout  
18 the state is some of the physicians are requiring, I don't  
19 say outrageous, but I hate to say it, but outrageous fees  
20 for a medical conference.

21 For the most part, forever it's been \$250, \$300, has  
22 kind of been the norm. Now, it's going to \$100 per  
23 question, or \$1500, or \$100 per minute. I mean, it's all  
24 these outrageous -- and what we've been talking about all  
25 day here is communication. And communication is critical  
26 and if there's nothing in their fee schedule -- it says  
27 "by report," so pretty much they can charge whatever they  
28 want to charge, and some of them are seeing the hit that  
29 Obamacare and private insurance are doing, so: Well, I  
30 can make this money up here.

31 But it's detrimental to our patients, because that  
32 physician sitting in front of the, you know, registered

1 nurse talking about -- because we know more about what's  
2 going on with that patient than a physician does, you  
3 know. Because they see that patient for this little bit  
4 of time, where we talk to that patient sometimes two or  
5 three times a day. We know what's working and what  
6 doesn't work. And when we're not able to be in front of  
7 that physician, because a lot of physicians refuse to  
8 answer letters or take phone calls or whatever, so we're,  
9 like: This is our avenue to be face-to-face.

10 So what I would like to do is try to -- when we start  
11 looking at, you know, adjusting the fee schedule,  
12 basically, is to set a fee for the medical conference.  
13 There's a reasonable -- where the physicians feel like  
14 they're getting their money's worth, but also where the  
15 carriers feel like they're not getting --

16 UNIDENTIFIED SPEAKER:

17 Raped.

18 MR. CASEY:

19 Right. Thank you. I didn't want to say that, but --

20 JUDGE KELLAR:

21 Thank you.

22 Yes, ma'am? Chandler?

23 MS. CHANDLER:

24 I was going to let Dr. Henderson go first.

25 DR. HENDERSON:

26 Darrell Henderson, again. I have a question about  
27 how do you change your codes for surgery. You thought you  
28 needed surgery codes for this surgery and you find  
29 something else. Surgery medicine, of course, as you know  
30 is not an exact science. And we see, at least I do,  
31 patients that have badly crushed injuries of the forearm,  
32 -- forearm, wrist, hand, and having problems where the

1 fingers aren't moving. And I try to make the best  
2 decision that I can without forcing the wrong -- the way  
3 that I can. I know it's going to need surgery. Then you  
4 wouldn't need to repair the tendons that I -- I gave the  
5 CPT codes for that and got approved. And at the time of  
6 surgery, it turns out that those tendons have been crushed  
7 so bad, or in the wrong spot, where you if you repair it,  
8 you're going to get a terrible result. And now you need  
9 to do tendon transfers, transfer one tendon over another.  
10 Rob from Peter to save Paul. We do that all the time in  
11 surgery. That doesn't particularly add any extra time for  
12 the surgery. It's a different type of surgery.  
13 Basically, the postop, the rehab and outpatient are  
14 totally different. What's happened, we're faced with the  
15 fact that now we have to get it working again, because we  
16 did this different operation, but we don't get paid for it  
17 because we presented a CPT code for this case what needed  
18 to be done, now it turns out the surgery -- We had to do a  
19 different type of procedure to get a good result. I have  
20 no idea how we can correct that. How do we do per hour,  
21 or what do we do, or do we just keep it kind of like we've  
22 been doing?

23 JUDGE KELLAR:

24 That's a medical fee reimbursement issue, because  
25 before the surgery you submit one code and then during the  
26 course of the surgery, you determined that you need to do  
27 something differently --

28 Dr. Henderson

29 That's correct --

30 JUDGE KELLAR:

31 And so the question is --

32 DR. HENDERSON:

1           Stuff was being cut. It was crushed and fell apart  
2 about over an inch and a half. You can't repair that.  
3 You've got to move another tendon over to take the place.  
4 It's just kind of a disaster when we run into that.

5 JUDGE KELLAR:

6           And so the question is do you refile using the CPT  
7 code for the surgery that you ended up doing?

8 DR. HENDERSON:

9           Yes, I go ahead and enter the correct CPT codes in  
10 the operative report, is what I did. But, of course, we  
11 don't get paid for those codes, because we weren't clever  
12 enough to know what they were before. But that's a small  
13 percentage of the time. Most of the time -- you know,  
14 I've been doing this over 45 years. Usually I know what  
15 we need to do. We don't always know. Sometimes, things  
16 are different when we get in there. I would think other  
17 specialities would have a similar sort of thing, such  
18 as -- of course, it may happen, and I have a pretty good  
19 idea about diagnostics studies, but it's not a hundred  
20 percent. A lot of circumstances. So is there any way to  
21 try to file for what you did after you've done it?

22 JUDGE KELLAR:

23           Dr. Henderson, Freda said something. You can talk to  
24 the doctor about it after we finish?

25 MS. CHARLESTON:

26           Actually, once you contact the insurer with all the  
27 information explaining why you had to do a different  
28 procedure, are they not responding?

29 JUDGE KELLAR:

30           They won't pay, because that's not what he --

31 DR. HENDERSON:

32           They won't pay. That code wasn't presented before.

1 Usually, the surgery time is about the same. The  
2 follow-up care is about the same. Everything is about the  
3 same. The fees are about the same, but it just turned out  
4 you had to do a different type of procedure on that tendon  
5 or on that nerve than what you thought you did.

6 (Inaudible)

7 MS. CHARLESTON:

8 Usually, those -- yeah, the only other thing you can  
9 do is file a 1008 in court for the --

10 DR. HENDERSON:

11 File a what?

12 JUDGE KELLAR:

13 A 1008. A Disputed Claim for Compensation to seek  
14 payment for the treatment that you -- the surgery that you  
15 actually performed.

16 MS. CHARLESTON:

17 With all the supporting documentation --

18 UNIDENTIFIED SPEAKER:

19 Would the outlier reimbursement request be  
20 appropriate in that situation?

21 JUDGE KELLAR:

22 An outlier is just for the certain, specific thing,  
23 so it wouldn't be outlier.

24 UNIDENTIFIED SPEAKER:

25 Outlier wouldn't change the code?

26 JUDGE KELLAR:

27 No.

28 JUDGE KELLAR:

29 No. It would probably be the 1008. Dr. Henderson,  
30 we can talk to you about it some more after we finish with  
31 the treatment guidelines. Thank you, sir.

32 Shannon?

1 MS. SHANNON DARTEZ

2 Shannon Dartez. This is an administrative question  
3 for Dr. Picard. I know that the 1009S can be, you know,  
4 denied for not having a 1010, and not being filed within  
5 15 days, incomplete 1009, et cetera, but if it's something  
6 administrative, such that you're reading -- you get the  
7 documentation in and a page just maybe got folded over in  
8 the fax machine or something so it's not readable. Why is  
9 there no mechanism for someone to just pick up the phone  
10 and say: Can you refax this to us? If it's been timely  
11 filed and all the attachments were there. We recently had  
12 a 1009 kicked back for not being readable. But,  
13 otherwise, everything was attached, and it was filed  
14 timely. If the purpose of medical treatment -- these  
15 treatment guidelines is to get efficient, you know,  
16 medical care for injured workers that -- just having it  
17 kickback for that reason seems like it flies into the face  
18 of the purpose of the Medical Treatment Guidelines and the  
19 1009 process. Because what happens is that, because I was  
20 told by the office that what I would need to do is tell  
21 the doctor's office that they have to refile their 1010  
22 request and start the process all over again.

23 This just delays the treatment for the injured  
24 worker. So why is there not a process in place for a  
25 simple phone call to be made. And I know you guys get  
26 lots of 1009 filings, and having it denied for one of the  
27 reasons listed, that's understandable because the process  
28 is set in place, and you should be able to have everything  
29 attached to it when you send it in, but if it's simply  
30 that maybe a couple of pages weren't readable. Why are  
31 they not calling you and saying: Can you just refax it to  
32 us? Or can you e-mail it instead?

1 DR. PICARD:

2       Once it gets to me -- Once it gets to my level --  
3 there are some front end rejections for inappropriately  
4 filled out forms, documentation not being sent. I don't  
5 see that. Once it gets to me, everything's there, and  
6 it's ready to be looked at and make a decision. So on the  
7 front end, to answer her question, I'm going to ask Freda,  
8 who deals with this more than me. What would you do with  
9 something like that when there's a page that's not  
10 readable or something, but everything else is there?

11 MS. CHARLESTON:

12       That one normally will be rejected, and sent back.  
13 But it's sent back -- when it's sent back, you have the  
14 option to call and explain the reason, you know: I have  
15 another copy. Can I, you know, send it back? Have you  
16 tried that? Who did you speak with?

17 MS. SHANNON DARTEZ

18       I was told I had to follow -- I have it here. I was  
19 told that I had to follow the process under 1203.1(J),  
20 which meant I had to have the doctor's office resubmit the  
21 pages. It didn't appear that I had that option to call  
22 and say: Can I refax it to you?

23 DR. PICARD:

24       Yeah. There should be a person who's working the  
25 case -- Freda would be one of them, for example. Their  
26 name should be on there, and that would be the person to  
27 contact. You might have talked to somebody at the front  
28 desk, or somebody who misunderstood something, but the  
29 specific person, once it gets to that 1009, it has been  
30 assigned to somebody. You can speak to that person. Am I  
31 correct?

32 MS. CHARLESTON:

1 Yes. And explain --

2 MS. SHANNON DARTEZ:

3 And the only person listed here is Director Kellar,  
4 and --

5 JUDGE KELLAR:

6 In the last paragraph, it doesn't say, "If you need  
7 further information call..." --

8 MS SHANNON DARTEZ:

9 It says, "Call medical services." And that's what I  
10 did. I called medical services --

11 MS. CHARLESTON:

12 There's probably some initials on the --

13 MS. SHANNON DARTEZ:

14 -- and I was abruptly told I had to follow the  
15 process. In other words, I had to have a 1010. I'm just  
16 wondering why in that situation there wasn't a phone call  
17 to say: Can you refax it?

18 JUDGE KELLAR:

19 I don't know, but can we talk about it --

20 MS. Shannon Dartez:

21 Sure.

22 JUDGE KELLAR:

23 -- more specifically afterwards. I want to look at  
24 the letter.

25 DR. PICARD:

26 Yes. Because we do need to take care of that. You  
27 should be able to call the person who's handling and who's  
28 been assigned to the case where they can help you deal  
29 with a minor issue like that without having to refile  
30 that. That's not necessary. So we can work that out with  
31 you.

32 JUDGE KELLAR:

1           It appears -- And I haven't looked at the letter, but  
2 it appears that it was a rejection on the front end --  
3 from the front desk reception. It never got to a nurse. I  
4 don't think -- it doesn't sound like it was ever assigned  
5 to a nurse.

6 MS. SHANNON DARTEZ:

7           That's the way it looks. It just says, "Asterisk,  
8 asterisk, cannot read the submitted copy."

9 MS. CHARLESTON:

10           It says, "Notice of Rejection" on the top?

11 MS. SHANNON DARTEZ:

12           Yes.

13 MS. CHARLESTON:

14           So it probably came from the front. When it came in,  
15 it didn't get to the back where we could have, actually,  
16 saw the file, and it would have had a specific person on  
17 there for you to call and speak with.

18 DR. PICARD:

19           We do have front end rejections, and many of them,  
20 because we get large amounts of forms that are just  
21 completely not filled out, no documentation with them, and  
22 we can't do anything with that. So apparently, you ended  
23 up with one of those, and I'm not sure what the specifics  
24 are.

25 JUDGE KELLAR:

26           Anything further?

27           Okay. If you guys don't have any further comments at  
28 this time, what I'd like Dr. Picard to do is to tell you  
29 some things that he would like to see when he receives a  
30 1009 filed that would help him in his decision-making  
31 process.

32 DR. PICARD:

1           So there's just a few things that we see very  
2 frequently, that have come up, that I've been discussing  
3 at these meetings. So, basically, the 1009 is a dispute  
4 between an insurance company and a patient -- patient's  
5 representative provider. And one of those two is going to  
6 prevail in that dispute. You can't have both of them be  
7 approved.

8           So typically, when an insurance company denies a  
9 particular procedure or therapy and it comes to us in the  
10 1009 process, we have to make a decision based on the  
11 Medical Treatment Guidelines, whether the procedure or  
12 therapy that's requested, meets those guidelines. In  
13 which case, we would overturn that insurance company  
14 denial and approve it. If it doesn't meet the guidelines,  
15 then we would deny it. So people ask me, from an  
16 insurance company standpoint, what are the things that you  
17 see, that we are saying, that we could do better in  
18 regards to our denials to help us to prevail, if we think  
19 it's appropriate.

20           So, basically, a couple things I see frequently. One  
21 of them is what we call "tacit denials," which is where  
22 the provider or patient's representative has sent a 1010  
23 form in requesting a particular procedure or therapy, and  
24 they did not get a response back from the insurance  
25 company. So they filed the 1009 with me to look at it to  
26 see if their treatment, their therapy, meets the Medical  
27 Treatment Guidelines.

28           In that case, that is a tacit denial, because I have  
29 information only from the provider or patient's  
30 representative to go by, and I have nothing from the  
31 insurance company saying why they denied the particular  
32 procedure. Therefore, that is more likely to be approved,

1 because if everything I see there meets the guidelines and  
2 there's nothing to explain why it's being denied, then  
3 it's more likely to be approved. So it's important for  
4 the insurance company to get their information -- their  
5 denial in to us in a timely fashion, so that we can look  
6 at their side of the dispute, also.

7       Sometimes, it has been brought to my attention that  
8 they're not sending anything because they were not made  
9 aware of the claim, and they never got the 1010. And  
10 there are things that we are talking about doing to see  
11 how we can improve upon that process. So that's one  
12 thing, the tacit denials. Reduction in tacit denials  
13 would be very helpful from the insurance company's  
14 standpoint.

15       The other thing is making sure that the people who  
16 look at -- the medical professionals who are looking at  
17 the case are given appropriate information in their  
18 denials. And that would be that they're not just giving a  
19 personal opinion, based on their expertise, but rather  
20 that they're giving an opinion based on whether or not the  
21 therapy or procedure meets the guidelines, which is how we  
22 make our decisions. Not on what they think they would do,  
23 but what the guidelines say are appropriate for this  
24 particular injured worker.

25       Also, oftentimes, there is incorrect information in  
26 there. It might say that something wasn't documented,  
27 when clearly I'm looking at the provider's documentation,  
28 and it is documented. So those are the things, from the  
29 insurance company's standpoint, that could be improved  
30 upon, could be done better, to better their case. From  
31 the provider or patient's representative's standpoint, the  
32 biggest thing I see is lack of documentation.

1           If you are requiring or asking for a particular  
2 therapy or particular procedure, there are certain  
3 criteria that are set forth in the guidelines that mandate  
4 what you have to have documented to allow that procedure  
5 to be approved. And if that documentation is not there,  
6 then we can't approve the procedure. You're requesting a  
7 surgery, where you haven't done conservative care, you  
8 haven't done therapy, you haven't -- you know, had  
9 everything documented within the guideline requirements  
10 for that procedure, then it can be approved. So it would  
11 behoove the providers and patient's representatives to  
12 familiarize themselves with the guidelines for the types  
13 of therapies and procedures that they typically do, so  
14 that they know what is required for it to be documented  
15 prior to submitting those 1009s. So that's just a summary  
16 of what I commonly, frequently see from both sides of it.

17 UNIDENTIFIED SPEAKER:

18           Is there such thing as too much documentation?

19 DR. PICARD:

20           No. I mean, I get large amounts of notes, sometimes  
21 the documentation is very useful, sometimes it's not very  
22 useful. I just look at what I need to look at -- I look  
23 at everything. Of course, but I don't need to see every  
24 therapy note if the most recent one has the amount of  
25 therapy the patient's had, how much improvement they've  
26 had, what positive gains they've made. So it's very  
27 variable, but we look at everything, but you can't send  
28 too much documentation.

29 MR. MICHAEL PIPPINS:

30           May I address that. This is not a philosophical  
31 answer, but it is a technical answer. In the past, we've  
32 gotten several hundred page filings, and all this stuff

1 gets scanned into our database, which operates on a  
2 storage -- storage area network -- operates through and is  
3 stored in an area network. And when the storage fills up,  
4 it quits. It just stops. And it's not a matter of simply  
5 resizing this particular -- the whole thing has to be  
6 rebuilt by somebody. And it's not a terrific task, and  
7 there is a limit, I'm told, as to how much we can expand  
8 it. So at some point, barring technical advances, we'll  
9 just be out of luck. So to the extent that you can reduce  
10 the problem, it's greatly appreciated.

11 DR. PICARD:

12 Let me just say one other thing -- I mean, the  
13 documentation that is required to show what is needed in  
14 the guidelines is what I need. Whether that's one page or  
15 several pages is not important. Because sometimes, I have  
16 to look through several pages to pick out the things I  
17 need in the guidelines. Sometimes there's one page that  
18 the doctor -- people who know what they're doing and have  
19 dealt with it a lot know what they need to write down,  
20 they have everything right there in one -- you know, one  
21 document.

22 UNIDENTIFIED SPEAKER:

23 I think the challenge is when the plaintiff's  
24 attorney's doing it on behalf of the claimant, and we're  
25 not doctors, and we're not as well versed. And we want to  
26 make sure that all the documentation is attached.  
27 Sometimes doctors will tell us: We don't file 1009s.  
28 We'll send you what you need, and you have to do it. It  
29 puts me at a disadvantage and it puts my client at a  
30 disadvantage if I have to pick and choose what I think  
31 should be in there as great documentation.

32 So that's why I asked, because one time I did send

1 quite a bit, and was asked not to ever do that again. So  
2 I just wanted to make sure that I'm doing the best job for  
3 my client. But I don't want to burden the office either.  
4 So that's why I asked what is too much.

5 JUDGE LUNDEEN:

6 Dr. Picard, would it be helpful if somebody is --  
7 does have that concern that you have a complete record to  
8 look at, if perhaps they highlighted certain parts of it  
9 to call your attention to those parts, and say, you know:  
10 Here are the doctor's visits from this doctor that say  
11 that I get cervical fusion. Would that be helpful?

12 DR. PICARD:

13 It is. It is sometimes done already. I do get  
14 providers that do it that way. Again, it's the ones that  
15 are familiar with the guidelines, and they know what I  
16 need to see, and they specifically do that.

17 JUDGE KELLAR:

18 Freda, would you like to add anything?

19 MS. CHARLESTON:

20 Please make sure when you submit a 1009 that you put  
21 the claimant's current address, and if she has a  
22 representative, make sure that you add him, as well, so we  
23 can inform him. Otherwise, we won't be able to inform all  
24 parties. I think someone stated that they don't get a  
25 notice. Make sure that you list the physician that's  
26 requesting the treatment. We've discussed medical  
27 records. Submit the medical records. Make sure the 1009  
28 has been signed. We send a Notice of Receipt and Filing  
29 out to all parties listed on the 1009, and currently we  
30 don't have what the requested procedure is on that Notice  
31 of Receipt and Filing. But we get calls, and we get  
32 e-mails all the time from the parties who receive them,

1 asking us what particular procedure is being requested.  
2 So please continue to do that. Like, if you have a  
3 question, in regard to what procedure is being requested,  
4 we can inform you. Make sure that you submit the 1010  
5 with the 1009. Make sure that is included. I think  
6 that's about it. Does anybody have any questions  
7 regarding the 1009 or 1010, and completing it?

8 JUDGE KELLAR:

9 Yes, ma'am?

10 UNIDENTIFIED SPEAKER:

11 Can more information that was sent with the 1010 --

12 JUDGE KELLAR:

13 Can you speak up and say it again?

14 UNIDENTIFIED SPEAKER:

15 Can more information that was sent with the 1010 --  
16 you know, the information that was sent with the 1010 is  
17 sent with the 1009, can more documents be added?

18 MS. CHARLESTON:

19 Yes, we get that all the time. Many people will say  
20 -- you know, send us an e-mail or fax it. But make sure  
21 you note the log number that was on that Notice of Receipt  
22 and Filing, so we can put it in with that file.

23 UNIDENTIFIED SPEAKER:

24 No. We will send them a 1010 and it's denied, the  
25 copy of that entire 1010 that we, actually, send them with  
26 the 1009's, can more documents be added to that, the  
27 original 1010?

28 MS. CHARLESTON:

29 Oh, when you send it to our office, yes.

30 DR. PICARD:

31 Yes.

32 MS. CHARLESTON:

1 Yes, you're saying once it's submitted to our office?

2 UNIDENTIFIED SPEAKER:

3 When the 1010 is denied, now we are going to file a  
4 1009, can we add documents to that 1010 package to go with  
5 the 1009?

6 DR. PICARD:

7 You can, but for instance, if your 1010 is denied,  
8 because you didn't demonstrate therapy or something, it  
9 might be easier to call the carrier and say: Look, I do  
10 have these documents that show this therapy, where you  
11 could possibly get it approved through the insurance  
12 company without having to go through a 1009. But to  
13 answer your question, yes, you can add information.

14 JUDGE KELLAR:

15 Is that it? Would you guys like to add anything?  
16 The OWCA representatives? Judges?

17 JUDGE LUNDEEN:

18 Sure. I think when we're dealing -- and there is a  
19 split in circuits now, so your question -- Are you also a  
20 Dr. McDonald? I'm sorry. In response to your question,  
21 so we have your situation, but then I think with a lot of  
22 lawyers -- and there's a split in our circuits -- what can  
23 you add once you get to the court system. And that  
24 depends on the jurisdiction in which you're filing.  
25 Because there's an argument that if you get to add things  
26 at our level, that you're now circumventing the whole  
27 point of the Medical Treatment Guidelines.

28 So the Supreme Court resolves that, we don't have a  
29 great answer for you. But what I can tell you is, as a  
30 judge, trying to understand your 1009 -- or really any  
31 case, we need as much information and we need succinct  
32 direction from you why you believe you should have this

1 particular procedure, and why it falls within the  
2 guidelines, and why you think that Dr. Picard missed the  
3 boat, so that we can then determine whether or not,  
4 according to our standard, the clear and convincing  
5 standard, with which you're charged, why we should  
6 overturn or uphold that decision at the appellate level

7       So the more succinct, the more you can point to:  
8 Look, here's the guideline. Here's how my case falls in  
9 it, based on this evidence, not just: My person really,  
10 really deserves this medical care, because they're a great  
11 person, and we really think that the doctor is right. We,  
12 actually, need you to really explain it, because we aren't  
13 doctors. So we need your help, lawyers, and we need the  
14 doctors help in helping the lawyers to make sure that we  
15 can understand, as lay people, interpreting these records,  
16 why someone is entitled to have an appeal upheld or  
17 overturned.

18 JUDGE PALERMO:

19       Yeah, I think another thing to remember is -- I run  
20 into the issue sometimes where the healthcare provider is  
21 appealing the decision of the Medical Director, where the  
22 Medical Director has denied some treatment and they file a  
23 1008, they come before me, and they start arguing their  
24 case. And in the middle of their argument, I realize that  
25 what the doctor really wants is a variance, but they  
26 didn't ask for it. So I'm presented with a situation  
27 where the Medical Director has denied a procedure, based  
28 on the medical guidelines. That decision is correct. So  
29 I have a choice, either I overrule, or I affirm them. I  
30 mean, those are the choices that I have.

31       And so in this situation, the Medical Director was  
32 correct, based on the guidelines, but what the doctor

1 wanted was a variance. So at the end of the hearing,  
2 that's what I told the attorney who was representing the  
3 doctor. I said: I think your doctor wants a variance,  
4 but the Medical Director was correct, so I'm denying the  
5 appeal.

6 So I think sometimes if you do get a denial, I don't  
7 know -- I know it makes it more complicated. I have to go  
8 back to the doctor and say: Look, this is the denial  
9 we've got. Is this really what you were asking for, or is  
10 there a variance? You know, is there something that maybe  
11 there, that maybe you can present it back to the Medical  
12 Director. Because I'll tell you, if it is a variance,  
13 presenting it back to the Medical Director is probably  
14 going to be quicker than doing the 1008 process.

15 JUDGE LUNDEEN:

16 And less costly.

17 JUDGE KELLAR:

18 Jan?

19 MS. JAN:

20 I just want to say to the medical providers -- and I  
21 thank the panel for pointing it out to them -- I don't  
22 think the medical community understands the importance of  
23 the 1009 or what it is. Basically, it is what the lawyer  
24 or the claimant or any aggrieved party uses to maintain  
25 treatment. So if your treatment is denied, and somebody  
26 files a 1009, that 1009 is going to become evidence in a  
27 court proceeding. When the 1008 is filed, should the  
28 Medical Director deny the 1009.

29 So if everything that we need to prevail on the  
30 treatment you're asking for is not in the 1009, in some  
31 jurisdictions, you cannot get in any other evidence. That  
32 is a problem, because now our system has set the medical

1 community up to have to know what is enough evidence. So  
2 just -- I would ask, for my clients, I would rather error  
3 on the side of too much information than not enough.  
4 Because our print's left on it, your record, that goes to  
5 the Medical Director. And if my office doesn't have any  
6 knowledge that the medical community that is treating my  
7 client has filed a 1009, I can't supplement that to the  
8 Medical Director.

9 So we have put ourselves together where we have  
10 doctors filing pleadings and the burden of proof is clear  
11 and convincing. I'm pretty sure I can't explain how to do  
12 a back surgery, but I don't if the doctor knows the  
13 meaning of clear and convincing. So that is the system  
14 we work in. So from a claimant's representative, anybody  
15 working with my office, we need data. We need your help  
16 in knowing what you need, so we can supplement that  
17 record, in case we find ourselves in front of Judge  
18 Johnson or Judge Palermo.

19 JUDGE KELLAR:

20 Thank you, Jan.

21 Karen?

22 MS. KAREN:

23 We, actually, agree on this issue, because what we're  
24 seeing, on the defense side, is when a 1009 or a 1010  
25 comes in to our adjusters, it doesn't say: I want a  
26 variance, check, or I want to do a procedure that's  
27 outside of the guidelines, check. On our side, we deny  
28 based on what's not in the guidelines, or we don't approve  
29 that variance, assuming your adjuster catches it, that  
30 that's what was being asked for.

31 And in those circuits where we can not introduce any  
32 more evidence when it gets to the 1008 stage, our hands

1 are tied too, from the defense side, because if the  
2 provider is truly asking for a variance, or for something  
3 that's outside the guidelines, we want to address that  
4 with the Medical Director. We want him to make the  
5 decision by a preponderance of the evidence. Can the  
6 variance be approved? Can it be outside the guidelines?  
7 And when the provider doesn't give that information in the  
8 1010, a lot of times -- we're in the same boat on this  
9 one -- we get our hands tied, because we cannot introduce  
10 anymore, or the decision from the Medical Director  
11 probably would have been different, had at the 1010 level  
12 we would -- had we known at the 1010 level that you were  
13 asking for a variance, or that you were asking to go  
14 outside the guidelines.

15 JUDGE KELLAR:

16 Thank you. Is there anything further?

17 Okay. Well, thank you guys. Thank you very much for  
18 attending. I appreciate all of your comments, and they  
19 will be taken into consideration when we make  
20 modifications to the process by which we implement the  
21 Medical Treatment Guidelines.

22 -- END OF MEETING --

23

24

25

26

27

28

29

30

31

32

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25  
26  
27  
28  
29  
30  
31  
32

C E R T I F I C A T E

This certificate is valid only for a transcript accompanied by my original signature and original required seal on this page.

I, Krista Ackal, Certified Court Reporter, in and for the State of Louisiana, employed as an official court reporter by the Office of Workers' Compensation, District 04, for the State of Louisiana, Department of labor, as the officer before whom the proceedings were held, do hereby certify that the foregoing 86 pages of typewritten matter constitute a true and correct transcription of the proceedings held before me on the 26 day of September 2016, at Cecil J. Picard Center, Lafayette, Louisiana; that these proceedings were reported by me in the stenomask reporting method, was prepared and transcribed by me or under my personal supervision, and is true and correct to the best of my ability and understanding; that the transcript has been prepared in compliance with transcript format guidelines required by statute, or by rules of the board or by the Supreme Court of Louisiana, that I am not related to counsel, I am in no manner associated with counsel for any of the interested parties to this litigation, and I am in no way concerned with the outcome thereof.

Lafayette, Louisiana, this \_\_\_\_\_ day of \_\_\_\_\_, 2016.

\_\_\_\_\_  
Krista Ackal, #2013005  
Certified Court Reporter